

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

TOBACCO PRODUCTS SCIENTIFIC ADVISORY COMMITTEE
(TPSAC)

Wednesday, January 18, 2012

2:00 p.m. to 4:00 p.m.

Open Session

9200 Corporate Boulevard

Rockville, Maryland

**This transcript has not been edited or corrected,
but appears as received from the commercial
transcribing service.**

1 **Meeting Roster**

2 **TPSAC Members (voting, Special Government Employee)**

3 Jonathan M. Samet, M.D., M.S. (*Chair*)

4 Professor and Flora L. Thornton Chair

5 Department of Preventive Medicine

6 Keck School of Medicine

7 University of Southern California, Los Angeles

8 Norris Comprehensive Cancer Center

9 1441 Eastlake Avenue, Room 4436, MS 44

10 Los Angeles, California 90089

11
12 Mark Stuart Clanton, M.D., M.P.H.

13 (*participating by telecom*)

14 Chief Medical Officer

15 American Cancer Society, High Plains Division

16 2433-A Ridgepoint Drive

17 Austin, Texas 78754

18

19

20

21

22

1 Dorothy K. Hatsukami, Ph.D.
2 Forster Family Professor in Cancer Prevention and
3 Professor of Psychiatry
4 Tobacco Use Research Center
5 University of Minnesota
6 717 Delaware St. SE
7 Minneapolis, Minnesota 55414

8

9 Patricia Nez Henderson, M.P.H., M.D.

10 *(Representative of the general public)*

11 Vice President

12 Black Hills Center for American Indian Health

13 701 St. Joseph Street, Suite 204

14 Rapid City, South Dakota 57701

15

16

17

18

19

20

21

22

1 Thomas Eissenberg, Ph.D.
2 Professor, Department of Psychology
3 Institute for Drug and Alcohol Studies, and
4 Director, Clinical Behavioral Pharmacology
5 Laboratories
6 Virginia Commonwealth University
7 Box 980205
8 Richmond, Virginia 23298

9
10 **TPSAC Members (*non-voting Industry Representatives*)**

11 Luby Arnold Hamm, Jr.
12 (*Representative of the interests of tobacco growers*
13 *participating by telecom*)
14 4901 Shallowbrook Trail
15 Raleigh, North Carolina 27616-6107

16
17
18
19
20
21
22

1 Jonathan Daniel Heck, Ph.D., DABT

2 *(Representative of the tobacco manufacturing*

3 *industry)*

4 Lorillard Tobacco Company

5 A.W. Spears Research Center

6 420 N. English St., P.O. Box 21688

7 Greensboro, North Carolina 27420-1688

8

9 John H. Lauterbach, Ph.D., DABT

10 *(Representative for the interest of small business*

11 *tobacco manufacturing industry)*

12 Lauterbach & Associates, LLC

13 211 Old Club Court

14 Macon, Georgia 31210-4708

15

16 **Temporary Members (voting)**

17 Robert L. Balster, Ph.D.

18 Director, Institute for Drug and Alcohol Studies

19 Virginia Commonwealth University

20 P.O. Box 980310

21 Richmond, Virginia 23298

22

1 Fred Pampel, Ph.D.
2 Professor of Sociology and Research Associate
3 Institute of Behavioral Science, Population Program
4 484 UCB
5 University of Colorado
6 Boulder, Colorado 80304

7

8 ***Ex Officio Members (non-voting)***

9 Mirjana Djordjevic, Ph.D.
10 Program Director
11 Tobacco Control Research Branch
12 Behavioral Research Program
13 National Cancer Institute
14 National Institutes of Health
15 9000 Rockville Pike
16 Bethesda, Maryland 20892

17

18

19

20

21

22

1 Susan V. Karol, M.D.

2 Chief Medical Officer

3 Indian Health Service

4 The Reyes Building

5 801 Thompson Avenue, Ste. 400

6 Rockville, Maryland 20857

7

8 Sandrine Pirard, M.D., Ph.D., M.P.H.

9 Medical Officer

10 Division of Pharmacologic Therapies

11 Center for Substance Abuse Treatment

12 Substance Abuse and Mental Health Administration

13 1 Choke Cherry Road, Room 2-1079

14 Rockville, Maryland 20857

15

16 **Consultants (nonvoting)**

17 Sherry Emery, M.B.A., Ph.D.

18 Senior Scientist

19 Institute for Health Research and Policy

20 University of Illinois at Chicago

21 1747 W. Roosevelt Road, Suite 558

22 Chicago, Illinois 60608

1 Bruce Simons-Morton, Ed.D., M.P.H.
2 Branch Chief and Senior Investigator
3 Division of Epidemiology Statistics and
4 Prevention Research
5 National Institutes of Health
6 Bethesda, Maryland 20892

7
8 ***FDA Participants at the table (non-voting)***

9 Lawrence R. Deyton, M.S.P.H., M.D.
10 Director
11 Center for Tobacco Products
12 Food and Drug Administration
13 9200 Corporate Boulevard
14 Rockville, Maryland 20850-3229

15
16 David L. Ashley, Ph.D.
17 Director, Office of Science
18 CTP/FDA

19
20 Sarah E. Evans, Ph.D.
21 Social Scientist
22 CTP/FDA

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order	
4	Jonathan Samet, M.D., M.S.	10
5	Conflict of Interest Statement	
6	Caryn Cohen, M.S.	11
7	Introduction of Committee Members	15
8	Opening Remarks	
9	Sarah Evans, Ph.D.	17
10	Presentations	
11	The Swedish Tobacco Harm Reduction Experience	
12	Lars-Erik Rutqvist, M.D., Ph.D.	24
13	Smokeless Tobacco, Cigarettes, and Dual	
14	Product Use: Implications for Dissolvable	
15	Product Marketing	
16	Scott Tomar, D.M.D., M.P.H., Dr.P.H.	52
17	Committee Discussion of Question 1	78
18	Adjournment	107
19		
20		
21		
22		

P R O C E E D I N G S

(2:01 p.m.)

Call to Order

DR. SAMET: Good afternoon. We're going to go ahead and get started. Welcome. I'm Jon Samet, chair of the Tobacco Products Scientific Advisory Committee. I'm going to make a few statements, and then we will introduce the committee.

For topics such as those being discussed at today's meeting, there are often a variety of opinions, some of which are strongly held. Our goal is that today's meeting will be a fair and open forum for discussion of these issues, and that individuals can express their views without interruption. Thus, as a gentle reminder, individuals will be allowed to speak into the record only if recognized by the chair. We look forward to a productive meeting.

In the spirit of the Federal Advisory Committee Act and the Government in the Sunshine Act, we ask that the Advisory Committee members take care that their conversations about the topics

1 at hand take place in the open forum of the
2 meeting.

3 We are aware that members of the media are
4 anxious to speak with the FDA about these
5 proceedings. However, FDA will refrain from
6 discussing the details of this meeting with the
7 media until its conclusion. Also, the committee is
8 reminded to please refrain from discussing the
9 meeting topics during breaks.

10 Thank you. I'll turn to Caryn Cohen, the
11 DFO.

12 **Conflict of Interest Statement**

13 MS. COHEN: The Food and Drug Administration
14 is convening today's meeting of the Tobacco
15 Products Scientific Advisory Committee under the
16 authority of the Federal Advisory Committee Act of
17 1972.

18 With the exception of the industry
19 representatives, all members and nonvoting members
20 are special government employees or regular federal
21 employees from other agencies and are subject to
22 federal conflict of interest laws and regulations.

1 The following information on the status of
2 this committee's compliance with federal ethics and
3 conflict of interest laws covered by, but not
4 limited to, those found at 18 USC Section 208 and
5 Section 712 of the Federal Food, Drug & Cosmetic
6 Act, is being provided to participants in today's
7 meeting and to the public.

8 FDA has determined that members of this
9 committee are in compliance with federal ethics and
10 conflict of interest laws. Under 18 USC
11 Section 208, Congress has authorized FDA to grant
12 waivers to special government employees and regular
13 federal employees who have potential financial
14 conflicts when it is determined that the agency's
15 need for a particular individual's services
16 outweighs his or her potential financial conflict
17 of interest.

18 Under Section 712 of the FD&C Act, Congress
19 has authorized FDA to grant waivers to special
20 government employees and regular federal employees
21 with potential financial conflicts when necessary
22 to afford the committee essential expertise.

1 Related to the discussions at today's
2 meeting, members of this committee have been
3 screened for potential financial conflicts of
4 interest of their own, as well as those imputed to
5 them, including those of their spouses or minor
6 children, and, for purposes of 18 USC Section 208,
7 their employers. These interests may include
8 investments, consulting, expert witness testimony,
9 contracts, grants, CRADAs, teaching, speaking,
10 writing, patents and royalties, and primary
11 employment.

12 Today's agenda involves the nature and the
13 impact of the use of dissolvable tobacco products
14 on public health, including such use among
15 children. Discussions will include such topics as
16 the composition and characteristics of dissolvable
17 tobacco products, product use, potential health
18 effects, and marketing. This is a particular
19 matters meeting, during which general issues will
20 be discussed.

21 Based on the agenda for today's meeting and
22 all financial interests reported by the committee

1 members, no conflict of interest waivers have been
2 issued in connection with this meeting. To ensure
3 transparency, we encourage all committee members to
4 disclose any public statements that they may have
5 made concerning the issues before the committee.

6 With respect to FDA's invited industry
7 representatives, we would like to disclose that
8 Drs. Daniel Heck and John Lauterbach and Mr. Arnold
9 Hamm are participating in this meeting as nonvoting
10 industry representatives acting on behalf of the
11 interests of the tobacco manufacturing industry,
12 the small business tobacco manufacturing industry,
13 and tobacco growers, respectively.

14 Their role at this meeting is to represent
15 these industries in general and not any particular
16 company. Dr. Heck is employed by Lorillard Tobacco
17 Company, Dr. Lauterbach is employed by Lauterbach &
18 Associates, LLC, and Mr. Hamm is retired.

19 FDA encourages all other participants to
20 advise the committee of any financial relationships
21 that they may have with any firms at issue.

22 Thank you.

1 **Introduction of Committee Members**

2 DR. SAMET: All right. Let's proceed with
3 committee introductions. And just so I don't
4 forget, we should have two committee members on the
5 phone. Let's see. Mark?

6 DR. CLANTON: Yes.

7 DR. SAMET: Why don't you go ahead and
8 introduce yourself.

9 DR. CLANTON: Mark Clanton, representing
10 pediatrics, public health, and oncology.

11 DR. SAMET: And Arnold, are you on?

12 MR. HAMM: I am, Dr. Samet. I'm Arnold
13 Hamm, representing U.S. tobacco growers.

14 DR. SAMET: Great. And then, John, we'll
15 start over on that side with you.

16 DR. LAUTERBACH: John Lauterbach, Lauterbach
17 & Associates, LLC, consultants and tobacco
18 chemistry and toxicology. And I'm here
19 representing the interests of the small business
20 tobacco product manufacturers.

21 DR. HECK: Dan Heck with the Lorillard
22 Tobacco Company, representing the interests of the

1 tobacco manufacturers.

2 DR. DJORDJEVIC: Mirjana Djordjevic with the
3 National Cancer Institute, representing NIH.

4 DR. PIRARD: Sandrine Pirard, medical
5 official, representing Substance Abuse and Mental
6 Health Services Administration.

7 DR. EVANS: Sarah Evans. I'm with the
8 Office of Science.

9 DR. ASHLEY: David Ashley. I'm director of
10 the Office of Science here at CTP.

11 DR. DEYTON: Lawrence Deyton. I'm director
12 here at CTP.

13 DR. SIMONS-MORTON: I'm Bruce Simons-Morton.
14 I'm with the National Institute of Child Health and
15 Human Development.

16 DR. EISSENBERG: I'm Tom Eissenberg. I'm
17 with Virginia Commonwealth University.

18 DR. HENDERSON: Patricia Nez Henderson,
19 Black Hills Center for American Indian Health.

20 DR. BALSTER: Bob Balster, Virginia
21 Commonwealth University.

22 DR. HATSUKAMI: Dorothy Hatsukami,

1 University of Minnesota.

2 DR. PAMPEL: I'm Fred Pampel, at the
3 University of Colorado Boulder.

4 DR. EMERY: Sherry Emery, University of
5 Illinois at Chicago.

6 DR. SAMET: Good. Thank you.

7 So I guess we'll turn to Sarah.

8 **Opening Remarks - Sarah Evans**

9 DR. EVANS: Good afternoon, everybody. I'd
10 like to welcome you back to this second meeting
11 from TPSAC on the topic of dissolvable tobacco
12 products. My name is Sarah Evans, and I'll be the
13 lead scientist for this effort.

14 I'd like to begin by informing you that the
15 information in these materials is not a formal
16 dissemination of information by FDA and does not
17 represent agency position or policy. The
18 information is being provided to TPSAC to aid the
19 committee in its evaluation of the issues and
20 questions referred to the committee.

21 The Tobacco Products Scientific Advisory
22 Committee is required to review and provide

1 recommendations to FDA regarding the nature and the
2 impact of the use of dissolvable tobacco products
3 on the public health, including such use among
4 children.

5 TPSAC is to consider the risk and benefits
6 to the population as a whole, including users and
7 non-users of tobacco products; the increased or
8 decreased likelihood that existing users of tobacco
9 products will stop using such products; and the
10 increased or decreased likelihood that those who do
11 not use tobacco products will start using such
12 products. TPSAC's report and recommendations are
13 due on March 23, 2012.

14 A tobacco product is any product made or
15 derived from tobacco that is intended for human
16 consumption, including any component, part, or
17 accessory of a tobacco product, except for raw
18 materials other than tobacco used in manufacturing
19 a component, part, or accessory of a tobacco
20 product. A tobacco product does not mean a product
21 that is a drug, device, or combination product.

22 Currently, cigarettes, cigarette tobacco,

1 smokeless tobacco, and roll-your-own tobacco are
2 subject to regulation under Chapter IX. FDA
3 intends to propose a regulation that would deem
4 products meeting the statutory definition of
5 tobacco product, found at Section 201(rr) of the
6 FD&C Act, to be subject to FDA's regulation under
7 Chapter IX.

8 Smokeless tobacco is any tobacco product
9 that consists of cut, ground, powdered, or leaf
10 tobacco, and that is intended to be placed in the
11 oral or nasal cavity.

12 There is no statutory definition of
13 dissolvable tobacco product. Many dissolvable
14 tobacco products may meet the current statutory
15 definition of smokeless tobacco. Some dissolvable
16 tobacco products may not meet the definitions of
17 cigarette, cigarette tobacco, roll-your-own
18 tobacco, or smokeless tobacco, and so may not be
19 currently subject to FDA regulation under
20 Chapter IX of the FD&C Act.

21 Meeting topics. The topic of this TPSAC
22 committee meeting is specifically dissolvable

1 tobacco products. It is not smokeless tobacco in
2 general. TPSAC is not being asked to address the
3 use of dissolvable tobacco products as cessation
4 aids, or whether specific products are
5 substantially equivalent to products which are on
6 the market as of February 15, 2007. At this time,
7 TPSAC is not being asked to evaluate those
8 applications, or to address use of dissolvable
9 tobacco products as potential modified risk tobacco
10 products.

11 In reviewing the nature and the impact of
12 the use of dissolvable tobacco products on the
13 public health, FDA requests that TPSAC be
14 inclusive, without regard to whether they are
15 currently regulated under Chapter IX, so not
16 limited to products that meet the definition of
17 smokeless tobacco. In providing recommendations to
18 FDA, we request that TPSAC identify the types of
19 dissolvable tobacco products to which the advice
20 does and does not apply.

21 Right now I'm going to give you an overview
22 of what we discussed during our July meeting. At

1 that time, FDA discussed our activities on
2 dissolvable tobacco products. This included
3 information available to FDA at that time. That
4 included published peer-reviewed literature,
5 submissions to dockets, responses to FDA's
6 February 1st letter of 2010, and information from
7 FDA-requested meetings with individual
8 manufacturers.

9 At that time in July, we also discussed
10 FDA's research activities, including consumer
11 perception research and quantitative analyses. At
12 that time we also discussed other FDA activities,
13 including information requested from industry, or
14 as we know it, the 904(b) letter.

15 FDA has responded to TPSAC requests for
16 information from the July 21st meeting.
17 Information on state and local level smoking laws
18 in states where dissolvable tobacco products are
19 thought to be marketed were included in your
20 background materials. During this meeting you will
21 hear presentations from FDA, RTI, and invited
22 speakers on dissolvable tobacco products. And, in

1 addition, FDA has provided information submitted by
2 the public in the background materials.

3 We began today's meeting with a closed
4 meeting. At that time, TPSAC heard commercial
5 confidential trade secret industry information.
6 Also, there was a presentation, invited, from
7 Altria. FDA requested information on design and
8 marketing, storage conditions and stability, and
9 the reproducibility of dissolvable tobacco
10 products. We now continue with an open meeting,
11 and we will be discussing this afternoon use of
12 Swedish oral tobacco and related health effects.

13 With that, I'd be happy to answer any
14 questions.

15 DR. SAMET: Questions from the committee?
16 John?

17 DR. LAUTERBACH: Yes, Dr. Samet. I'm
18 confused here about the definitions. When would a
19 dissolvable tobacco product not be considered a
20 smokeless tobacco product?

21 DR. EVANS: So we are asking the committee.
22 We're taking a broad overview of dissolvable

1 tobacco products, and so we're looking for your
2 direction and your discussion to help us solve
3 that. But since there's no statutory definition of
4 dissolvable tobacco products, we included
5 definitions that were in the statute to aid you in
6 that discussion.

7 DR. SAMET: I think this is a topic that we
8 will be touching on. Actually, other questions for
9 Sarah? I think it's just maybe important to point
10 out that we're really beginning with, today and
11 tomorrow, hearing a lot of information that will be
12 relevant to our report.

13 I think you might remember March 23rd as the
14 deadline for writing the report.

15 DR. EVANS: Correct.

16 DR. SAMET: Thank you for that reminder. We
17 had not forgotten. But I think when we leave here
18 on Friday, the day during which we do have time for
19 discussion about the report and its formulation, we
20 really do need to have a very specific plan coming
21 out of what we've learned both from our July
22 meeting, the materials that have been provided, and

1 the additional material that we'll hear both today
2 and tomorrow. So I think the committee needs to
3 keep in mind what our broader picture is over the
4 three days. And I actually think that we do have a
5 very full three days ahead of us.

6 So let me ask, any questions about where
7 we're up to? So this afternoon, we do have
8 something very specific we'll be looking at, and we
9 have one, I think, charge question related to that.
10 But anything else before we move on?

11 [No response.]

12 DR. SAMET: Thank you. Thank you, Sarah.

13 Then we'll move on to our first presentation
14 by Dr. Lars-Erik Rutqvist from Swedish Match. His
15 presentation is, The Swedish Tobacco Harm Reduction
16 Experience. Yes, please, and thank you for coming.

17 **Presentation - Lars-Erik Rutqvist**

18 DR. RUTQVIST: Good afternoon, and thank you
19 for inviting me.

20 At the July meeting of the TPSAC, many
21 speakers referenced the Swedish data on snus when
22 talking about health effects of dissolvables, and

1 that is obviously the background for my
2 presentation here today.

3 I've been specifically asked not only to
4 talk about the Swedish data on the switch from
5 cigarettes to snus, but also to address the extent
6 to which actions on the part of government,
7 regulatory authorities, media, and industry
8 contributed to the phenomenon.

9 Other central issues, of course, are the
10 extent to which the data on snus is applicable to
11 dissolvable products and whether the experiences
12 from Sweden can be translated to the U.S.
13 situation, but those are judgments that I leave to
14 the FDA and to this committee.

15 I've submitted a brief review paper on the
16 Swedish experience. I hope you've all received a
17 copy of that. It compliments my presentation
18 today, and includes selected references for those
19 interested.

20 My key messages in this presentation is
21 that, overall, tobacco consumption in Sweden is as
22 high as in comparable countries. But Sweden has,

1 over the past three to four decades, developed
2 internationally record low rates of smoking-related
3 morbidity and mortality. Many researchers have
4 concluded that the switch from cigarettes to snus
5 that started in the early '70s, mainly among male
6 smokers, has contributed to this development.

7 Snus is now the predominant tobacco product
8 among males in Sweden. And the basis for the
9 Swedish experience scientific claims is rooted in
10 several published research articles, most of which
11 derived information from a handful of key cohorts
12 as well as national public health statistics.

13 The switch from cigarettes to snus was
14 probably multi-factorial. Actions on the part of
15 government, academia, and industry probably all
16 played a part. But from the beginning, it was
17 largely a grassroots phenomenon which was
18 facilitated by the backdrop created by government
19 and regulatory authorities and media.

20 It's important to remember that the Swedish
21 experience is a rather fairly recent phenomenon.
22 For most of the 20th century, Swedish tobacco use

1 was similar to other Western countries, with
2 cigarettes as the predominate product of choice.
3 It's only been since the last third of the century
4 that snus has made a comeback. Remember that snus
5 is the traditional product of Sweden and dominated
6 the tobacco market back in the early 1900s.

7 The experience began in the late '60s, early
8 '70s when the health effects of cigarettes became
9 widely acknowledged. And many Swedish smokers
10 turned to the traditional product, which was viewed
11 as being more natural, so to speak, than
12 cigarettes. But it took some time before the
13 effects of the switch started to emerge in the
14 public health statistics. It was not until the
15 early 1990s that the experience was documented in
16 published research articles.

17 This slide shows an example of the kind of
18 data that have emerged. In the left panel, you see
19 a comparison of smoking prevalence and snus use
20 among males in three Scandinavian countries,
21 Sweden, Norway, and Denmark. And in the right
22 panel, you see corresponding incidence rates for

1 some common tobacco-related cancers. And as you
2 can see, Swedish males have the highest snus use
3 rate, the lowest smoking prevalence, and the
4 consistently lowest rates of smoking-related
5 cancer.

6 This slide shows similar data, but the
7 comparison here is between Sweden and the rest of
8 the European Union. But the conclusion is exactly
9 the same: Swedish males smoke the least in the
10 E.U. and have the lowest, or among the lowest,
11 tobacco-related cancer rates.

12 To fully understand the Swedish experience,
13 I think it's important to realize that Sweden is a
14 highly regulated country. Personal habits are
15 generally well-documented, including the use of
16 various tobacco products. The government has a
17 longstanding commitment, going back over a hundred
18 years, to public health. And I would say Swedes in
19 general are fairly well-educated and, for the most
20 part, reasonably health-conscious.

21 There are national records of tobacco
22 statistics that go back over 200 years. And for

1 the most part of the past century, the Swedish
2 state was actively involved in snus production,
3 either in the form of the state monopoly or a
4 state-owned company. And this state involvement
5 has facilitated collaboration between the industry,
6 government, and regulatory authorities.

7 The Swedish experience scientific claims are
8 rooted in a large number of research articles,
9 which have derived information from a number of key
10 cohorts. Like all cohorts, these have their
11 strengths and weaknesses, but it's these data that
12 are considered to be the most useful source of
13 information for the study of Swedish snus.

14 Numerous scientific articles have been
15 published based on these cohorts. The authors
16 arrive at slightly different conclusions, even
17 based on the same data set. But a common theme in
18 virtually all publications on health effects is
19 acknowledgment of a vast risk differential between
20 snus and smoking.

21 Swedish institutions have a longstanding
22 commitment to conduct and fund tobacco-related

1 research, and it may be of interest for you to know
2 that at the moment, most of this research is
3 focused on snus, which reflects the Swedish tobacco
4 use patterns.

5 So how do Swedish public health authorities
6 communicate about snus? I think an illustrative
7 example is provided by the public health report for
8 2005 published by the Swedish National Board of
9 Health. That report contains a section on snus,
10 including detailed information on prevalence of
11 use, time trends, health implications, and whether
12 snus is a gateway to smoking.

13 The report noted that the health assets of
14 snus are minor in comparison with smoking, and
15 specifically mentioned that the recent studies
16 showed no association between snus use and
17 myocardial infarction. But they also cited data
18 suggesting that snus may be associated with some
19 types of cancer and may have adverse effects on
20 pregnancy outcomes.

21 The report concluded that the scientific
22 source material is not always strong, but the

1 assumption should always be that snus is not a
2 harmless product. The report went on to state that
3 many people apparently have used snus as a means to
4 give up smoking. But as to the issue whether
5 public health officials should actually suggest to
6 smokers to switch to snus, well, the report
7 formulated that question but left it unanswered.

8 Another important message in the report was
9 that snus primarily acts as a gateway from smoking,
10 not the opposite. This contributed to what many
11 researchers and the public already had accepted,
12 namely, that in Sweden, like in all other
13 countries, the predominate gateway to smoking among
14 adolescents is cigarettes, not snus.

15 The Swedish experience would not have been
16 possible if there hadn't been, and continues to be,
17 a public debate about snus as a tobacco harm
18 reduction product. In the past decade, there have
19 been more than 5,000 articles in Swedish print
20 media about snus, and they have appeared in a wide
21 range of publications.

22 This media attention, where different views

1 have been expressed, has undoubtedly had a
2 significant risk communication impact. The
3 exchange featured recently in the official journal
4 of the Swedish Medical Association, *Lakartidningen*,
5 is of special interest because it demonstrated the
6 importance of the modified risk debate to the
7 Swedish public health community.

8 Snus-related articles also appear in the
9 business sections of newspapers, and the focus of
10 those articles has been the Swedish government's
11 work to overturn the current E.U. ban on snus. But
12 they typically also address the relative health
13 impact of snus versus cigarettes, which is a
14 cornerstone of the present Swedish administration's
15 position that the E.U. ban is disproportionate and
16 ill-advised. The relative health effects of snus
17 versus smoking is also a common topic among family
18 and friends, and these conversations have been
19 enhanced by the statements from government and
20 academia.

21 In Sweden, snus is regulated as a food
22 product since 1971, and I think this is

1 representative of how snus is viewed by the
2 government and has definitely influenced how the
3 product is perceived by the public.

4 Last year, the Swedish Food Agency developed
5 a proposal for more comprehensive and precise
6 regulation for snus products, which essentially
7 codifies the ongoing relationship between Swedish
8 Match and the agency. And this proposal has now
9 been submitted to the European health authorities
10 as part of the Swedish government's position on the
11 E.U. ban.

12 The fact that snus came under the
13 jurisdiction of the Swedish Food Act was also the
14 starting point for a collaboration between Swedish
15 Match and researchers at the agency, which
16 eventually led to the voluntary quality standard
17 called GothiaTek, which includes, for instance,
18 requirements for maximum permitted levels of
19 suspected harmful elements, requirements for the
20 manufacturing process, and also a standard for
21 qualified product information to consumers.

22 The goals of the standard includes a

1 commitment to continual quality improvement, to
2 closely follow external research, and to conduct
3 in-house research and cooperate with regulatory
4 agencies.

5 Tobacco research governance and the
6 interactions of regulatory authorities, industry,
7 and academia is an important issue that was
8 addressed in the recently-released IOM committee
9 report on modified risk tobacco product
10 applications. In Sweden, we've faced similar
11 challenges; but I would say we made significant
12 progress, for instance, with the development of the
13 GothiaTek standard and the relationship between
14 industry and regulatory authorities.

15 Just to give you a recent example, those of
16 you who attended the mid-November international
17 meeting for tobacco regulators sponsored by the WHO
18 and the FDA may have noted that the presentation by
19 a senior official from the Swedish National
20 Institute of Public Health cited the GothiaTek
21 standard, and also thanked Swedish Match.

22 The role of Karolinska Institutet, which is

1 a leading medical university in Sweden where I once
2 worked, is particularly significant because of its
3 seminal tobacco research and the impact of faculty
4 on government policies. There is research from the
5 Karolinska Institutet which I agree with and some
6 that I question, but that's the nature of the
7 scientific debate, and we're having that debate in
8 Sweden at the moment.

9 To what extent has industry contributed to
10 the switch from cigarettes to snus in Sweden? And
11 when I say industry, bear in mind that Swedish
12 Match has a more than 80 percent market share in
13 Sweden for snus, which essentially means that
14 Swedish Match is the industry.

15 The Swedish Match website is directed
16 towards investors and employees. But I think this
17 site, anyway, illustrates how the company has
18 communicated with consumers in Sweden over the
19 years. It features a combination of science and
20 business-related information which is intended to
21 compliment that provided by other sources,
22 including government, academia, NGOs, as well as

1 family and friends. On the issue of health
2 effects, the site presents factual, well-referenced
3 information, and only presents analyses and
4 conclusions from credible external sources.

5 The company takes great care to only present
6 statements that are backed up by research and
7 findings stated by government agencies and the
8 scientific community. The site is definitely pro-
9 snus, but it's science-based, not advocacy-based,
10 which I believe is correct both from an ethical and
11 business point of view.

12 Snus has never been marketed as a smoking
13 cessation aid or harm reduction product. The fact
14 that snus can function as an alternative to
15 cigarettes is something Swedish smokers found out
16 for themselves. I think this was facilitated by
17 the deep-rooted cultural view as snus being a more
18 natural, organic product, as it were, than
19 cigarettes. This impression was later reinforced
20 by acknowledgment from government and academia of
21 the loss-risk differential between snus and
22 smoking.

1 Snus has always been marketed as a
2 traditional product under historic brand names,
3 sometimes brand names that go back more than a
4 hundred years, which is in contrast to the
5 marketing approach by U.S. companies, that use
6 cigarette brand names for their snus-type products,
7 possibly implying that the two products coexist in
8 a tobacco usage pattern. The health effect
9 communication probably works best among educated
10 consumers, who probably also are those who
11 appreciate the GothiaTek goals of using best
12 available production technologies.

13 So, in conclusion, Sweden has seen an
14 earlier and more profound drop in smoking
15 prevalence than any other country, so the Swedish
16 experience is widely viewed as a positive public
17 health story. I think the public's underlying
18 sense of reasonableness behind the communication
19 about snus has been an important factor to bring
20 about this change, irrespective of whether the
21 message has come from government, academia, or
22 industry.

1 Public health representatives have been
2 cautious and have continued to characterize snus as
3 a potentially harmful product. But at the same
4 time, they also presented the scientific facts, and
5 have typically not equated snus with cigarettes. I
6 believe industry, too, Swedish Match, can take some
7 credit for the positive development in that the
8 company's marketing has always been responsible and
9 the communication has always been science-driven.

10 The Swedish experience is a complex and
11 multi-faceted phenomenon that has occurred over
12 several decades, and I'm happy to say that it is
13 now being replicated in neighboring Norway.

14 I hope this presentation has been able to
15 provide a context for future references to the
16 Swedish experience. So thank you.

17 DR. SAMET: Thank you.

18 We have another presentation to follow, but
19 why don't we take any questions specific to this
20 presentation now, remembering that we'll have an
21 overall discussion afterwards.

22 Sandrine?

1 DR. PIRARD: Yes. I have a question, and
2 maybe it's almost impossible to answer. I don't
3 know. But are the profits from snus in Sweden
4 comparable to the profits that could U.S. industry
5 derive from similar products? In a sense, is the
6 profit as big as what we can see here in the U.S.
7 in Sweden?

8 DR. RUTQVIST: Well, I have no experience
9 from the cigarette business, so I couldn't comment
10 on whether you can make a bigger profit on snus or
11 on cigarettes. Please note that Swedish Match left
12 the cigarette business many years ago. The company
13 felt that cigarettes were a dead end from a
14 scientific, ethical, and business point of view.

15 DR. SAMET: Tom?

16 DR. EISSENBERG: If I understand the data
17 you're presenting correctly, in the 1970s folks in
18 Sweden, particularly the males, started using snus
19 and stopped using cigarettes. Is that correct?

20 DR. RUTQVIST: Yes.

21 DR. EISSENBERG: And so you would attribute
22 the low rates of lung cancer now to the complete

1 substitution in those folks of their cigarette use
2 for snus use; that is, they completely dropped
3 cigarettes, and now they're using snus exclusively.

4 DR. RUTQVIST: For the most part, yes.
5 There is, obviously, some dual use as well. But if
6 you focus on dual daily use, which I think when it
7 comes to smoking is the most troublesome from a
8 lung cancer point of view, dual use is rather low.

9 DR. EISSENBERG: But those dual users, would
10 they be captured in -- these aren't numbered. In
11 the figure where it looks like there are somewhere
12 around 11 percent of smokers in Sweden in 2008,
13 male smokers in Sweden, would that include the dual
14 users? I'm trying to get at the idea that it's
15 exclusive snus use that underlies what we would
16 call the Swedish experience.

17 DR. RUTQVIST: The figure that you see shows
18 data on daily use.

19 DR. EISSENBERG: Yes.

20 DR. RUTQVIST: So that would be the total
21 number of daily users. Some of those would
22 obviously also be dual users.

1 DR. EISSENBERG: Okay. Thank you.

2 DR. SAMET: Sherry?

3 DR. EMERY: So the shift in use patterns
4 that we see starting in the '70s, you're saying,
5 was not a result of any marketing or promotional
6 strategies, but just a grassroots reaction to the
7 findings about cigarette smoking?

8 DR. RUTQVIST: I think the most important
9 determinant was the increased widespread knowledge
10 about the health effects of cigarettes. That is, I
11 think, the main determinant of that. I know that
12 it's been suggested that marketing had something to
13 do with it. I have not been able to document that,
14 and I have not seen any documentation as to what
15 kind of marketing that would refer to.

16 The tobacco museum in Stockholm has a quite
17 large collection of marketing material that goes
18 back more than a hundred years. And I've looked at
19 their collection from the '60s and '70s, and -- I
20 mean, I think we have to accept that back then,
21 when advertising was still allowed in print media
22 in Sweden, the vast majority of the advertising you

1 saw was for cigarettes because that was the big
2 product at the time. There was marketing for snus
3 as well, but it was not very prominent.

4 DR. EMERY: I have a follow-up question to
5 that, just about the nature of the cigarette
6 advertising. Were the restrictions that were
7 adopted on cigarette advertising also applied to
8 snus advertising?

9 DR. RUTQVIST: Yes. Restrictions have
10 always concerned tobacco products. It's not made
11 as a distinction between cigarettes or snus.

12 DR. SAMET: Dorothy?

13 DR. HATSUKAMI: You had mentioned that the
14 dual use is relatively low in Sweden. To what do
15 you --

16 DR. RUTQVIST: Dual daily use.

17 DR. HATSUKAMI: Pardon?

18 DR. RUTQVIST: Dual daily use.

19 DR. HATSUKAMI: Yes. Dual daily use. I
20 see. To what do you attribute that? Do you think
21 it's because people in Sweden have an understanding
22 that cigarettes are more harmful than snus, or the

1 fact that maybe the snus products contain high
2 nicotine and could substitute for cigarettes? Any
3 thoughts on that?

4 DR. RUTQVIST: I think the reason is multi-
5 faceted. I think one important aspect is the
6 nicotine delivery profile of the product. It's not
7 a low -- the traditional types of snus that we have
8 in Sweden is not a low nicotine product. It
9 delivers nicotine quite effectively; obviously, not
10 as cigarettes, but still, it would be classified as
11 a high nicotine smokeless product. I think that
12 could be one reason.

13 Another reason could possibly be that it's
14 viewed as, as I said, a more natural, organic
15 product than cigarettes. It could be because of
16 the public's awareness of the health hazards of
17 smoking. It's probably multi-factorial, and it's
18 difficult to quantitate exactly how much each
19 factor contributed.

20 DR. HATSUKAMI: Just related, you mentioned
21 that you don't see dual daily use. But do you see
22 dual non-daily use?

1 DR. RUTQVIST: I think the --

2 DR. HATSUKAMI: I think intermittent maybe
3 snus but yet daily cigarette use, is what I'm
4 getting at.

5 DR. RUTQVIST: If you have a situation where
6 a large proportion of those who use snus are former
7 smokers, I think you will see quite a lot of dual
8 use. If you include, for instance, having a
9 cigarette at the party once a month, if that's
10 daily use, and you have a lot of ex-smokers among
11 your snus users, you will see a lot of daily use.

12 I think the whole issue of dual use is
13 difficult because it includes such a wide variety
14 of behaviors. It could be those who use -- who
15 smoke most of the week and use snus once a week.
16 It could be those who use snus on a daily basis,
17 who perhaps take a puff once a month. I mean, dual
18 use is so varied. You can have so many usage
19 patterns within that definition. So I think you
20 need to really define what you mean.

21 DR. SAMET: Fred?

22 DR. PAMPEL: Whenever you get a big change

1 like this, lots of causes are involved. But it
2 seems to me that somehow along the way, it was more
3 than just the consideration of health effects
4 because women didn't accept the snus the way men
5 have.

6 It seems that there's some sort of norm
7 going on about what's an acceptable kind of
8 alternative behavior. For men, it's okay; for
9 women, it's not okay. And maybe in Sweden it had
10 something to do with traditional use of snus, and
11 that sort of speeded the acceptance among men of
12 the habit. We don't quite have that same
13 background in the United States. And I just wonder
14 to what extent that might make it difficult to
15 replicate that acceptance of snus.

16 DR. RUTQVIST: I think you have a good point
17 there. I think history played a big role in the
18 fact that it was mainly the male smokers that took
19 it up because, as you point out, it was
20 historically mainly a male habit.

21 This is now changing because it's age-
22 related. This conception of snus as being a male

1 habit is mainly among middle-aged or older women,
2 because if you look at those under 45, young female
3 smokers are taking up snus as well. And it's the
4 same phenomenon that we see in Norway.

5 So times are changing, and history may not
6 be so important any longer for young people.

7 DR. SAMET: I just want to go back to
8 Dorothy's question. One issue with the
9 introduction of dissolvables or other products into
10 the marketplace will be surveillance on patterns of
11 tobacco use. And you alluded to the challenge of
12 tracking dual use patterns.

13 Do you feel that whoever does this in
14 Sweden, your public health authorities, have they
15 risen up to this challenge of understanding use
16 patterns? And if so, how?

17 DR. RUTQVIST: Well, as I mentioned, much of
18 the tobacco-related research that is ongoing at the
19 moment in Sweden is focused on snus use because
20 it's so prevalent. And this also applies to
21 Norway. There are a lot of research projects that
22 go on, and there are surveys that monitor use

1 patterns in the population. And I think whatever
2 question you have on use patterns you can find in
3 published statistics or research articles.

4 DR. SAMET: So just to follow up, then, you
5 feel that the tracking that is in place is
6 adequately monitoring the multiple different
7 patterns of single use of tobacco and cigarettes
8 and the various -- the spectrum of dual-use
9 patterns you alluded to?

10 DR. RUTQVIST: Well, as a researcher, there
11 are always extra questions that you would like to
12 ask. You can always ask for something that people
13 didn't collect information on. But apart from
14 that, I would say that the surveys are quite
15 comprehensive.

16 DR. SAMET: Good.

17 Let me check, before we move on to our next
18 presentation.

19 Mark, Arnold, any questions?

20 DR. CLANTON: No questions here.

21 DR. SAMET: That was a no?

22 MR. HAMM: No.

1 DR. SAMET: Arnold, okay.

2 Tom, the last question?

3 DR. EISSENBERG: Yes. You've obviously
4 studied the uptake of snus use in Sweden for quite
5 a bit, so I wondered if I could get your expertise
6 on two questions.

7 First, transitions in smokers, is the
8 transition -- or do you have any data to suggest
9 that the transition from being a smoker to becoming
10 an exclusive snus user, is it you wake up one day,
11 you try snus, and there, you're done, or how long
12 does it take?

13 Secondly, what about uptake in non-users,
14 that is, non-tobacco users? You mentioned just a
15 second ago about adolescents switching to snus.
16 But are adolescents also taking up snus when they
17 were previously tobacco-naive?

18 DR. RUTQVIST: This depends on from which
19 time the data derives. Back in the late '60s,
20 '70s, almost 100 percent of those who came into the
21 snus category were smokers because smoking was so
22 prevalent.

1 This proportion, with the decrease in
2 smoking prevalence, has gone down now. So at the
3 moment, those who come into the snus category are
4 somewhat different figures. Some say 50 percent;
5 some say a bit lower than that, are not smokers.

6 So eventually, hopefully, the ideal
7 situation would be that there would be no smokers
8 in the population. So anyone who used snus would
9 not be a smoker. So this changes with time.

10 Is that an answer to your question?

11 DR. EISSENBERG: Let me just make sure I
12 understood what you said. Did you just say
13 50 percent of snus users were not using tobacco
14 prior to their snus use?

15 DR. RUTQVIST: Those who come into the
16 category now, yes.

17 DR. EISSENBERG: Then I didn't get anything
18 about transitions in smokers, whether it's a
19 gradual process --

20 DR. RUTQVIST: Ah, yes. Yes. No,
21 obviously, it is a gradual process. The transition
22 period varies from weeks to months up to several

1 years. So when you talk about dual use, a
2 proportion of those individuals represent smokers
3 in transition.

4 I have not seen reliable data that
5 quantifies how large a proportion. I think we will
6 be able to see such data in a few years because,
7 obviously, it is a large research interest at the
8 moment. So I know that people are looking at it.
9 But their transition typically, I would say, takes
10 several months, at least.

11 DR. SAMET: Last, last question.

12 DR. BALSTER: One of the obvious problems
13 that we would have in applying information about
14 snus use in Sweden to the U.S. situation is the
15 existence in Sweden of this voluntary quality
16 standard, which creates a particular type of snus
17 product.

18 I don't know if this is fair to ask you, but
19 is there a way to attribute the health-related
20 changes over time to the fact of it being that
21 particular snus product, versus just a switch to
22 snus in general? How important was the standard,

1 in your view, as it might have attributed to
2 changes in these health outcomes?

3 DR. RUTQVIST: I think the standard was very
4 important, perhaps not because of the exact levels
5 of the different constituents that it regulates. I
6 think the standard was important and is important
7 because of the fact that it symbolizes, or
8 epitomizes, if you will, a commitment to quality
9 development that has been there in the company
10 since the 1960s. So there has been a continual
11 quality improvement ever since. And today, if you
12 look at the levels of nitrosamines and
13 benzopyrenes, they are much lower than the
14 GothiaTek limits.

15 So I think that has been important. I find
16 it difficult to believe that a tenfold decrease in
17 the levels of, let's say, polycyclic aromatic hydrocarbons
18 wouldn't matter. I find that difficult to believe.

19 DR. SAMET: Thank you, Dr. Rutqvist. And as
20 we discuss, we may well come back with more
21 questions, after the next presentation by Dr. Scott
22 Tomar from the University of Florida.

1 Scott, thank you for coming.

2 **Presentation - Scott Tomar**

3 DR. TOMAR: Yes. Thank you very much for
4 the invitation to speak here.

5 So my understanding of the charge to TPSAC
6 is to really consider how the introduction of new
7 tobacco products may affect the population, the
8 health of the population in general, whether it
9 increases or decreases overall population harm.
10 Again, there isn't a tremendous amount of
11 information specifically on dissolvable products.
12 But I thought perhaps there are lessons we could
13 learn from existing smokeless tobacco products.

14 So, perhaps dissolvable products could
15 reduce population harm from smoking. If in fact
16 these products would prevent smoking initiation,
17 would help current smokers to quit smoking, or if
18 it at least reduced cigarette consumption among
19 smokers who continue to smoke.

20 On the other hand, the introduction of novel
21 products could actually escalate population harm if
22 in fact it recruits non-tobacco users into use of

1 these products, and particularly if they appeal to
2 young people, who still make up the overwhelming
3 majority of adopters of tobacco product; if in fact
4 it delays smoking cessation; and if it promotes
5 relapse among those who have already quit smoking.
6 So again, I thought perhaps there are some lessons
7 to learn from the available epidemiologic data on
8 use of other products.

9 So I was just going to briefly review the
10 epidemiologic data on patterns of smokeless tobacco
11 usage and consumption in relation to cigarette
12 smoking, a little bit from Sweden, a little bit
13 from the U.S. I'll talk about some of the recent,
14 very recent, randomized clinical trials on
15 smokeless tobacco as a smoking cessation strategy.
16 And I understand the previous speaker's point that
17 the industry has not marketed these products
18 specifically as smoking cessation devices or
19 medications. On the other hand, they actually are
20 funding some of these clinical trials. And
21 finally, we'll talk about the implication of the
22 findings from those studies.

1 So first, the question -- and this has come
2 up a number of times in past years -- of whether
3 the adoption of smokeless tobacco use might
4 actually prevent smoking initiation. The theory is
5 that if young people were to adopt use of smokeless
6 tobacco, perhaps that would satisfy their need for
7 nicotine dosing, and in fact maybe prevent them
8 from becoming smokers. In fact, there are a number
9 of publications out of Sweden that have actually
10 made that claim.

11 So the hypothesis is that if smokeless
12 tobacco use actually prevented smoking, increased
13 the smokeless tobacco use, we would expect that to
14 be associated with a decreased proportion of people
15 under 25 who currently smoked. And the reason why
16 I put that out there -- and all of these
17 assumptions are highly supportable by available
18 evidence -- nearly all tobacco initiation in
19 developed countries, including Sweden and the
20 United States, occurs by early adulthood. Very,
21 very little occurs after age 25.

22 There's very little tobacco cessation that

1 occurs among young people. So in fact, because of
2 that, trends in the prevalence of current use of
3 these products generally reflects underlying trends
4 of initiation because we're not seeing a whole lot
5 of cessation at those ages.

6 So in fact, the data that were presented by
7 the previous speaker on overall sales trends -- I
8 unfortunately didn't include that slide here. But
9 actually, there was a period of more than 20 years
10 where in fact trends in both cigarette consumption
11 and snus consumption were in fact parallel.

12 But in fact, where we're picking up, when
13 you look at a 30,000-foot view, is a lot of birth
14 cohort effects that are sort of mushed together.
15 And in fact if we were to look just at the youngest
16 cohort -- and these are official data from
17 Statistics Sweden, trends in the percentage of
18 males, age 16 to 24 who smoked or used snus daily.
19 So as has been mentioned already, daily use of snus
20 is more prevalent than daily smoking among these
21 adolescent and young adult males.

22 However, if you look at the long-term

1 trends, going back to the late '80s -- and in fact,
2 what I've plotted was the linear trend lines for
3 both of those -- they're in fact fairly parallel
4 and both declining. So at least in looking at the
5 trends in daily use of either of these products,
6 they both appear to be in decline. At least at
7 this point, there does not appear to be a
8 substitution or any kind of preventive effect from
9 snus usage.

10 Another thing, we're seeing a little
11 different pattern among females in this age group,
12 the use of snus among young females, much, much
13 lower than among males, and in fact, in the early
14 part of these trends, almost zero. On the other
15 hand, that was the period at which we saw the most
16 rapid decline in the presence of daily smoking
17 among adolescent girls and young women.

18 We're now up to about 4 or 5 percent
19 prevalence of daily snus usage among young females,
20 actually at the same time that the decline in
21 smoking seems to have leveled off. But actually,
22 as of the most recent data that have been reported,

1 the prevalence of daily smoking, it's within a
2 percentage point or two for young males and
3 females, not appreciably different at this point,
4 although there's a very, very different trend in
5 use of snus.

6 So again, there's just not a whole lot of
7 evidence that the population level have any kind of
8 major preventive effect from the use of snus on
9 smoking.

10 Some data from the U.S. -- and
11 unfortunately, the way that the data are collected
12 in this particular survey -- this is from the
13 Monitoring the Future survey. It was conducted by
14 University of Michigan. It's been funded by the
15 National Institute on Drug Abuse since the
16 mid-'70s. They have consistently collected data on
17 smokeless tobacco since the early '90s. So I've
18 included all the years for which we have ongoing
19 data on use of smokeless tobacco as well as
20 smoking.

21 So as far as cigarette smoking, those lines
22 are pretty much parallel for high school boys and

1 girls. This is for 12 graders in high school in
2 the U.S., peaking in the mid-'90s. And then we've
3 seen a pretty precipitous drop in the prevalence of
4 daily smoking in high school seniors, in fact to
5 the lowest point since it's been tracked. I didn't
6 have all the data going back to the beginning of
7 this particular survey, but going back to the
8 mid-'70s, the lowest prevalence we've ever seen.

9 On the other hand, the use of smokeless
10 tobacco -- and they don't separate snuff from other
11 forms, although snuff is the predominate product
12 used by young people in the United States -- we've
13 actually seen a decline for most of this time; a
14 little different trend line, but over most of this
15 time, a decline in the prevalence of daily use of
16 smokeless tobacco, with the exception of, the last
17 few years -- and again, we'll keep monitoring that
18 to see whether that's a persistent trend, but what
19 looks like potentially an uptick in daily use of
20 smokeless tobacco by high school males. Among
21 girls through this whole period, almost not
22 measurable. It's just about zero.

1 So in terms of whether these products are
2 efficacious in getting smokers to give up
3 cigarettes and move to this product -- and I'm not
4 going to go through a lot of cohort studies
5 although I can provide those to the committee
6 afterwards if they'd be interested. But I thought
7 the highest level of evidence that we have as of
8 today are randomized clinical trials which
9 specifically tested smokeless tobacco products for
10 efficacy in helping smokers who want to quit in
11 their attempts to quit.

12 To my knowledge, I could only find three
13 randomized clinical trials. And the hypothesis, of
14 course, is that if smokeless tobacco is an
15 effective treatment or substitute for smoking, we
16 would expect to see higher quit rates in the arm
17 randomized to smokeless tobacco than in the control
18 group.

19 So this first study came out a few years
20 ago. It was done in Denmark. It's not a snus
21 product, but it's what they call tobacco rolls.
22 It's Oliver Twist pellets that are sold in Sweden.

1 It was a randomized open label trial, so in other
2 words, the groups were randomized to either get the
3 counseling alone or group counseling plus the use
4 of this product. There was no placebo control in
5 that.

6 It's six months, no significant different in
7 either the six-month point prevalence of
8 abstinence, about 23, 21 percent, not even close to
9 statistically significant. And the continuous
10 abstinence rate, very low and not statistically
11 significant in either one of those groups. So that
12 was the first clinical trial.

13 Two more recent ones. Both of these tested
14 snus products, and both of them were placebo-
15 controlled, both also funded in part by snus
16 manufacturers. This one was actually a multi-site
17 study done in the United States, randomized,
18 double-blind, in which they were randomized to
19 either get pouches with snus or with placebo.

20 At 28 weeks, it looked like a slightly
21 higher point prevalence/abstinence rate in the snus
22 group compared to placebo, although not

1 statistically significant. And again, the same
2 patten for continuous abstinence, a couple
3 percentage points higher, although again, quite low
4 for both groups, and not even close to
5 statistically significant in that particular study;
6 and more recent study where they tested this in
7 Serbia, also randomized, double-blind, really using
8 the same protocol as the previous one. And I'd say
9 pretty comparable findings: slightly higher point
10 prevalence and continuous abstinence, although not
11 reaching conventional levels of statistical
12 significance; and overall, continuous abstinence
13 rates quite low in both groups.

14 One of the other outcomes they looked at in
15 that particular study was what was a reduction in
16 mean number of cigarettes smoked per day among
17 those who continue to smoke, which in fact was most
18 of the participants. And, in fact, no
19 statistically significant difference in mean number
20 of cigarettes smoked between the two arms of that
21 study.

22 So the other question is, what is the

1 evidence in terms of smokeless tobacco as a partial
2 substitution? So I thought, well, if people
3 continue to smoke, would their use of smokeless
4 tobacco at least reduce their level of exposure to
5 cigarette smoking?

6 So a couple studies that we've done looking
7 at this. This is based on self-reported data from
8 National Health Interview Survey. And in fact, in
9 that study we found that adult smokers who used
10 snuff only on some days were not significantly
11 different from those who didn't use snuff. In mean
12 number of cigarettes per day, both had about 18 or
13 19 cigarettes per day. On the other hand, those
14 who used snuff every day did smoke, on average,
15 about 7 fewer cigarettes per day in that particular
16 study.

17 A more recent study that we did -- and
18 granted, it's a pretty small sample size on this.
19 These are data from a National Health and Nutrition
20 Examination survey. This particular survey
21 combines some self-report with some physical
22 examination and laboratory assay for a number of

1 different things, including serum cotinine levels.

2 In this particular study -- and this is
3 among daily smokers age 20 and over, and we looked
4 at mean number of cigarettes per day and their mean
5 serum cotinine levels as a function of their
6 smokeless tobacco use. So this is daily smokers
7 who had never used smokeless tobacco, those who
8 used to use a form of smokeless tobacco but don't
9 any more, those who also use smokeless tobacco but
10 only on some days, and those who are truly dual
11 daily users, users of both cigarettes and smokeless
12 tobacco.

13 Again, with the caveat these are pretty
14 small sample sizes, but really no difference in the
15 mean number of cigarettes smoked per day among
16 those groups, with the exception of those who are
17 former smokeless tobacco users who in fact smoked
18 significantly more cigarettes per day than these
19 other groups. On the other hand, the dual daily
20 use group had by far the highest mean serum
21 cotinine level among these groups.

22 So again, based on this study, there doesn't

1 seem to be a whole lot of reduction in cigarette
2 consumption as a function of smokeless tobacco use.

3 On the other hand, if you look at the
4 prevalence of smoking as a function of smokeless
5 tobacco use among adults, actually pretty dramatic
6 differences among those. And again,
7 cross-sectional data is sometimes difficult to
8 interpret exactly what some of these things mean.
9 But among men, who reported that they used snuff on
10 some days, incredibly high prevalence of smoking,
11 about 45 percent that were also smokers. Those who
12 had quit using snuff, so they had reported that
13 they at one time had been a regular user but no
14 longer used it, also a very, very high prevalence.

15 But even among daily snuff users, the
16 prevalence is not insignificant at about
17 15 percent, and really not all that different from
18 those who never used snuff. And again, I know
19 these are cross-sectional data. Sometimes it could
20 reflect several different phenomena going on. But
21 the bottom line is a very high prevalence of dual
22 use among snuff users in this country.

1 One relatively recent cohort study that came
2 out was done among a fairly large cohort of
3 military personnel. And this was done among airmen
4 in the U.S. Air Force. When they enter basic
5 training, they go through a period of forced
6 abstinence. They're not permitted to use any form
7 of tobacco. And this actually was from a control
8 arm of a much larger randomized clinical trial.

9 So to some extent, the behavior in this
10 control arm I think of as somewhat of a natural
11 experiment; what happened to these men -- and I say
12 men; there were a small number of women, but it was
13 overwhelmingly men, though -- what happened in this
14 cohort of military personnel after they finished
15 basic training and were allowed to either return to
16 smoking, return to smokeless tobacco use, or some
17 combination thereof.

18 So, in fact, they evaluated them at
19 baseline, 12-month follow-up. What they actually
20 found in this particular study was that those who
21 were only smokers at baseline were actually much
22 more likely to become dual users than they were to

1 switch completely to smokeless tobacco, by about a
2 sixfold difference between those.

3 They actually found that those who were
4 baseline dual users, those who used both cigarettes
5 and smokeless tobacco at the baseline of this
6 study, for one thing had the lowest rate of tobacco
7 non-use at follow-up. So the overwhelming majority
8 of them actually returned to using tobacco. But
9 also, it was the least stable of the groups in that
10 a larger proportion actually became cigarette
11 smokers only than maintained that pattern of dual
12 use; a quite significant difference. And actually,
13 a much smaller percentage that went on to only use
14 smokeless tobacco.

15 So at least in this fairly large cohort
16 study, dual use really was most predictive of them
17 going on to remaining a tobacco user, mostly moving
18 back towards cigarettes.

19 But one of the things, and certainly that
20 the FDA will need to grapple with, is how these
21 products are marketed. It's one thing of the
22 nature of the product itself, but who are they

1 marketing it to? What are the marketing messages?
2 I'm an epidemiologist, not a dentist. I'm not a
3 marketing expert. But I happened to come across
4 this ad because I fly fairly frequently on both
5 Delta and US Air. Delta has a policy of -- and
6 they announce at the beginning of every flight.
7 The use of cigarettes or smokeless tobacco products
8 is prohibited. US Air has a little different
9 announcement.

10 So actually, I found this ad in several
11 issues of US Airways' in-flight magazine. I never
12 found a comparable ad in Delta's magazine. But
13 it's clear that -- and again, to me as a non-
14 marketing person -- but to me, the message
15 advertising this in an in-flight magazine,
16 certainly with the images of windows on an
17 airplane, promoting this particular product, at a
18 time that -- for a time when they can't smoke, you
19 could still have your tobacco flavor.

20 Then as these new products come out, and
21 from the same manufacturer as the previous product
22 that I just showed -- so these are some verbiage

1 from the website for Camel's dissolvable products.
2 The quote here, "'I like to keep the variety pack
3 in my car,' she says, 'or just handy in my purse.
4 Then my options are always open.' Like many adult
5 consumers we've talked to, Cynthia enjoys the
6 anytime, anywhere benefit of Camel dissolvables."
7 And again, it certainly appears to me to be
8 targeting people to use at a time where maybe
9 smoking is not possible or convenient.

10 So the bottom line, I'd say, at least based
11 on the evidence that I've seen so far, no evidence
12 for smokeless tobacco use in preventing smoking
13 initiation. And in fact, I'd say the most recent
14 data from Sweden suggests that it's possible to
15 reduce the prevalence of both of them in parallel.

16 A small and not statistically significant
17 efficacy of the use of either snus or those tobacco
18 pellets as a smoking cessation method, although the
19 rates of continuous abstinence were very, very low
20 in both groups. The substantial prevalence of
21 smoking among snuff users, particularly in this
22 country, I couldn't find Swedish data reported in a

1 way that allowed me to present comparable data.

2 I'd say, based on at least the U.S.
3 evidence, smokeless tobacco seems to be far more
4 consistently associated with a partial substitution
5 and dual use of these products than complete
6 substitution. And I'd say that just based on some
7 of the ads that I've seen just within the past
8 couple months, the new U.S. dissolvable products
9 and snus products that are now on the U.S. market
10 are already promoted for situational substitution
11 of smoking. And I'd say at least in my reading of
12 the literature so far, a pretty weak and
13 inconsistent body of evidence for smokeless tobacco
14 promotion as a public health strategy for harm
15 reduction.

16 So again, I know the charge to the committee
17 was to look at the net effect of tobacco products
18 on overall population harm. I'd say so far I'm
19 just not seeing the evidence for promoting any of
20 these products in terms of tobacco harm reduction.

21 I'd be happy to take your questions.

22 DR. SAMET: Thank you, Scott.

1 Let me open up for questions from the
2 committee. Yes, John?

3 DR. LAUTERBACH: Dr. Samet, I'm quoting here
4 from one of Dr. Hatsukami's papers, where it says
5 here, "Results: General snus, parentheses, high
6 nicotine, was not preferred by any smoker. No
7 significant differences in preference were observed
8 across the other tobacco products. During the
9 smoking cessation phase, Camel snus was generally
10 associated with greater craving relief and
11 satisfaction, reduced use of cigarettes, and
12 greater abstinence during follow-up compared to
13 other products."

14 Can you comment on that, please?

15 DR. SAMET: I'm not sure who you're looking
16 for a comment from. But we do have somebody here
17 named Hatsukami.

18 [Laughter.]

19 DR. SAMET: And Scott, I don't know whether
20 you want to comment.

21 John, that comes out of a clinical trial, I
22 believe, or one of these panel studies, I think.

1 DR. LAUTERBACH: Yes.

2 DR. HATSUKAMI: So I guess the point that
3 you're making is that Camel snus or a snus product
4 might help in terms of cessation. For that
5 particular study, we only looked at the effects
6 over a period of two weeks. We only had them on a
7 product for two weeks. So it's really difficult to
8 generalize that to a larger population of smokers
9 or whether in fact it would be replicated.

10 The primary purpose of that study was to
11 determine what product that we would want to use
12 for a much larger clinical trial, which we are
13 conducting right now. So that's kind of a caveat
14 related to that.

15 DR. SAMET: Just as a comment, if you
16 remember, at the start, Sarah told us things we
17 were not looking at, which did include, if I am
18 correct, dissolvables as an actual therapeutic
19 modality for smoking cessation.

20 That said, I mean, this still is broadly
21 relevant to our charge, which has the word
22 "impact," and that has to be considered largely.

1 And I think the reason we're hearing about the
2 Swedish experience and the follow-up by Scott on
3 smokeless tobacco in the U.S. is really to see what
4 we can learn that may inform us, given the paucity
5 of data on dissolvables per se that would be useful
6 for our charge of impact.

7 John, do you have a follow-up?

8 DR. LAUTERBACH: Yes. Dr. Tomar, have you
9 ever used Oliver Twist?

10 DR. TOMAR: No, I haven't.

11 DR. LAUTERBACH: Have you used any of the
12 other smokeless tobacco products that were involved
13 in these surveys you quoted?

14 DR. TOMAR: I've never been -- I mean, I've
15 experimented with every one of them. I
16 haven't -- I shouldn't say every product. But I
17 have tried the traditional moist snuff products in
18 the U.S. I've never been a regular user.

19 DR. SAMET: Actually, our report is not
20 about Dr. Tomar.

21 [Laughter.]

22 DR. LAUTERBACH: Dr. Samet, what I'm trying

1 to make a case here for, having purchased some of
2 the Oliver Twist products at my local tobacco
3 industry in Volusia County, Florida, they are quite
4 different in sensory effects. I want to sort out
5 here the effects we're reporting on that deal with
6 the sensory likeability of one smokeless product
7 versus another that may be used in the surveys.
8 That's all I want to point out here.

9 DR. SAMET: Let's see. Other questions?
10 Bob?

11 DR. BALSTER: I guess I don't exactly
12 understand the point of the slide you had with the
13 US Airways magazine and an ad for the whatever, the
14 Camel snus product. I didn't really think it was a
15 question that the industry was essentially saying
16 that these products and dissolvables could be used
17 in situations where smoking was not appropriate or
18 inconvenient or something like that. I never
19 really thought that was a matter of question.

20 What is your point about that, exactly?
21 That they're promoting it for use in first class
22 cabins or --

1 DR. TOMAR: No. My point in both of those
2 ads is that we have two products by the same
3 manufacturer -- and, again the caveat, I am not a
4 marketing expert, but certainly the interpretation
5 I've had, and others that have looked at that, is
6 that they are promoting dual use. They're not
7 saying, stop smoking, in this particular ad. It's,
8 use these products when it's not convenient for you
9 to smoke.

10 When we're seeing an emerging pattern of
11 dual use -- and in fact, dual use is most prevalent
12 among younger people. When we're seeing a tobacco
13 manufacturer who now controls both the manufacturer
14 of cigarettes, a same name product in other forms,
15 the same brand name, and promoting use of both of
16 these products, if that's not promoting dual use,
17 I'm not sure what is.

18 DR. SAMET: Tom?

19 DR. EISSENBERG: I guess I took a different
20 message away from your presentation of that
21 advertisement. I heard from Sweden that the
22 so-called Swedish experience occurred in the total

1 ban of both cigarette advertising and smokeless
2 advertising. So I thought you were trying to make
3 the point that if we do indeed want to replicate
4 that experience, we need to exercise those bans.

5 DR. TOMAR: And in fact, that particular ad
6 in US Airways magazine -- and I in fact did write
7 to US Airways because it's actually a violation of
8 their own self-imposed policy on not accepting
9 advertisements for tobacco in their publications.

10 DR. SAMET: Patricia?

11 DR. HENDERSON: Scott, did you find any data
12 as it relates to snus and morbidity and mortality
13 in Sweden, primarily looking at the rate of
14 diabetes?

15 DR. TOMAR: There are a few studies. That's
16 not something I reviewed for this particular
17 presentation. There are some studies, not a large
18 number. I don't know that we're at a -- I don't
19 know that we have sufficient data to reach any
20 causal conclusion on snus and risk. I know there
21 are some studies that suggest an elevated risk, but
22 it's only a couple studies that I've seen.

1 DR. SAMET: Fred?

2 DR. PAMPEL: Thanks for bringing this data
3 together. It's handy to see the randomized
4 controlled trials discussed together. But as your
5 conclusion, the trials showed that ST was more
6 consistently associated with partial substitution.
7 So there were some benefits in terms of lowering
8 the number of cigarettes among dual users. But
9 then the conclusion is there's weak and
10 inconsistent evidence for ST promotion as a public
11 health strategy.

12 So wouldn't reducing the number of
13 cigarettes be part of a useful public health
14 strategy, or isn't the evidence strong enough
15 to --

16 DR. TOMAR: No. Actually, in the randomized
17 clinical trials, there was no -- at least the one
18 that reported that as one of their main outcomes,
19 there actually was no difference in the mean number
20 of cigarettes smoked per day. Actually, the data I
21 presented was from a cross-sectional study. It was
22 not from the randomized clinical trials. And even

1 that, on the two U.S. studies, one looked like
2 there was a substitution effect, the more recent
3 data from the National Health and Nutrition
4 Examination Survey -- in fact, it was virtually
5 identical, a mean number of cigarettes smoked per
6 day regardless of their smokeless tobacco use.

7 DR. PAMPEL: So the evidence isn't very good
8 that it has a partial substitution effect?

9 DR. TOMAR: I'd say that there's some
10 evidence from one of our studies, from the one
11 randomized clinical trial. No, there isn't
12 evidence in that particular study of a substitution
13 effect.

14 DR. SAMET: Let me check. Mark? Arnold?
15 Questions?

16 DR. CLANTON: No question here.

17 MR. HAMM: No question here, either.

18 DR. SAMET: Thank you.

19 Dorothy?

20 DR. HATSUKAMI: Scott, I'm asking you this
21 question because I've forgotten or I wasn't really
22 focusing on it. But in the randomized clinical

1 trials, do you know what the dose was of the snus
2 products that people were assigned to? Do you
3 remember what they mentioned? If not, I can just
4 look at the articles, but --

5 DR. TOMAR: Yes. I don't know. In fact,
6 I'm not sure if they even mentioned the specific
7 brand or -- I assume it was unlabeled. But I don't
8 know for sure what product was used.

9 DR. HATSUKAMI: What the nicotine
10 dose -- yes.

11 DR. SAMET: Any other questions?

12 [No response.]

13 **Committee Discussion of Question 1**

14 DR. SAMET: If not, Scott, thank you. And
15 again, we may turn back to you and Dr. Rutqvist in
16 our discussions.

17 I think shall we unveil question number 1?
18 And again, remember, we have a set of questions in
19 part to help to structure our discussion and to, in
20 a sense, get to the point. And again, thinking
21 about our charge with the dissolvables and, as I
22 voiced earlier, the relative lack of real world

1 experience, the question is, can we turn to
2 experience elsewhere, Sweden or the United States
3 with smokeless tobacco products in general, as
4 outlined by Scott, to begin to get some help on our
5 charge?

6 I think one point that both presentations
7 made clear is that the context is important. And
8 the context of Sweden in the 1970s is not now, and
9 some of our experience with smokeless tobacco going
10 back a ways is also not now. So things have
11 changed over time. So I think we need to keep that
12 carefully in mind.

13 So the purpose of this is really to discuss,
14 and I think come to some resolution, around this
15 question because we have many other issues we're
16 going to move on to over the next couple of days.
17 So I think you can all see this question regarding
18 the data from Sweden.

19 What, if any, extrapolations can be made to
20 use of dissolvable tobacco products from the impact
21 of the use of traditional smokeless tobacco on oral
22 health to the impact of the use of dissolvable

1 tobacco products on oral health -- I'm not sure
2 we've actually heard very much about that, and we
3 can, I think, for sure turn to Scott for some help
4 on that issue. And then what factors may limit
5 making these extrapolations?

6 So I think let's focus in first on the
7 Swedish story. And I think we've had the long-run
8 story, which was going back to that plot with
9 declining snus use, rising snus use, and the
10 tobacco figure that I've seen many times, and then
11 I think, in fact, Scott's more recent coverage of
12 what is happening at the I think it was 16 to 24
13 age range in terms of both snus and tobacco use.

14 I think the question that we really want to
15 ask is what can we learn from this about the
16 potential introduction of, really, another
17 smokeless product into the tobacco marketplace. So
18 dissolvables would be an addition to what is
19 already there. And our charge related to impact,
20 which does include youth in it, then I think our
21 questions would be, what might be the impact of yet
22 another product on tobacco product use generally,

1 cigarette smoking use, and then I think at the
2 other end we have the cessation question.

3 So why don't we -- just to keep a little bit
4 of focus, let's start with the questions around
5 initiation of tobacco product use and the potential
6 impact of having another smokeless product
7 available, and I think take that one on first, and
8 deal with the extrapolation.

9 What have we learned from the data we have
10 seen? Have we gained something useful out of this?
11 And it's not a fully rhetorical question since we
12 have to write a report.

13 [Laughter.]

14 DR. HATSUKAMI: I guess I'll start. I think
15 it's really hard to extrapolate the Swedish data to
16 what's going on in the U.S., and there's several
17 reasons for that. The most prominent is the
18 promotion of co-use of cigarette smoking and the
19 dissolvable products. So I think that's one thing
20 that you don't necessarily see in Sweden.

21 Secondly, I think dissolvables are really
22 quite different from snus in that you have such a

1 cultural -- as you said, Jonathan, a cultural
2 context surrounding snus use. It was a traditional
3 product, whereas these dissolvables are just a
4 totally new product that's being introduced in the
5 U.S.

6 Thirdly, I don't think we had the kind of
7 educational campaigns that have been ongoing in
8 Sweden that we do here in the United States. I
9 think there's a lot of confusion as to the relative
10 safety or the harm of cigarette smoking versus an
11 oral tobacco product.

12 So for those reasons, I would find it very
13 hard to extrapolate what we've seen in terms of
14 initiation, uptake, even dual use in Sweden to a
15 U.S. situation.

16 DR. SAMET: So I think just to crystallize
17 what you've said, you really, I think, articulated
18 the idea that we really should be very cautious in
19 generalizing, if at all, from the Swedish
20 experience around --

21 DR. HATSUKAMI: Initiation, uptake,
22 pattern --

1 DR. SAMET: -- around initiation.

2 DR. HATSUKAMI: Not necessarily. Health
3 consequences is another issue.

4 DR. SAMET: Health consequences is a
5 different story. But on the --

6 DR. HATSUKAMI: Yes. Right. Right.

7 DR. SAMET: Sherry, were you going to
8 comment?

9 DR. EMERY: I was also thinking about the
10 limitations of generalizing from the Swedish
11 experience. And my perspective comes from the
12 uptake and the lack of marketing that was
13 apparently involved in Sweden, and the completely
14 different context for marketing tobacco products
15 here in the United States.

16 DR. SAMET: Others? Fred?

17 DR. PAMPEL: I agree that the experience in
18 Sweden is no evidence that the same thing will
19 happen automatically here in the United States.
20 There's too many differences to expect it to occur
21 just because the product is available. Yet
22 somehow, this remarkable experience in Sweden

1 suggests some potential, that if norms could be
2 changed or if people started to think differently
3 about cigarettes, something different might happen.
4 So I wouldn't dismiss altogether the ability to
5 extrapolate from Sweden to the United States.

6 DR. SAMET: Dan?

7 DR. HECK: Yes. I think, to Dorothy's
8 comment, it is true enough. We don't have that
9 same tradition here, national tradition of
10 smokeless use. Traditional smokeless use here in
11 the U.S., I think -- at least I always thought of
12 as kind of a rural, outdoorsy novelty that -- and I
13 didn't particularly know anybody growing up that
14 used smokeless tobacco, I don't think.

15 So with the manufacturers -- well, we've
16 seen the Marlboro and the Camel dissolvable
17 products introduced, and snus is -- I think that
18 with the dominant products here being traditional
19 cigarettes, I think that the appearance of these
20 products on the U.S. market, we kind of expect them
21 to be done differently and perhaps paired, as we've
22 seen, with the established brand names of

1 cigarettes.

2 Of course, that 600-pound gorilla in the
3 room here that is off our agenda now is the
4 relative comparative risk and the comparative
5 exposures accompanying those two categories of
6 products. I guess that's a subject for another
7 day.

8 One other thing, just in keeping with the
9 slide here, some differences with the Swedish
10 products. I think we heard something about this at
11 some of the previous presentations. But the
12 traditional Swedish products, I think as we've seen
13 some data presented perhaps at the previous
14 meeting, are quite strong and quite alkaline. And
15 I think some of these -- there are differences, and
16 presumably some possible differences in the oral
17 health effects that might characterize that type of
18 product as opposed to these novel products in the
19 U.S.

20 DR SAMET: Scott, let me ask. I think one
21 thing that impressed me, back to your 16- to
22 24-year-olds, was two things. So in the males 16

1 to 24, there was decline in both smoked cigarettes
2 and snus use. And yet in the girls, there was a
3 rise in snus use, and if I remember right, a slight
4 decline in cigarette use. Is that --

5 DR. TOMAR: Actually, there was a pretty
6 precipitous decline in smoking that's kind of
7 leveled off in recent years.

8 DR. SAMET: Microphone. I'm sorry, Scott.

9 DR. TOMAR: There was a pretty precipitous
10 decline in the prevalence of smoking among girls 16
11 to 24, girls and young women 16 to 24. It's kind
12 of leveled off in recent years. There's certainly
13 been -- so we saw a pretty steep decline in the
14 prevalence of daily smoking through about 2005,
15 2006 or so, and then it's kind of leveled off the
16 last 5 years or so.

17 To some extent, the curve for snus is not
18 smooth because they don't measure it every single
19 year, so it's a little more jagged. But there
20 certainly seems to have been an increase since the
21 late '90s or so, although it's still only about
22 5 percent or so of prevalence of daily use of snus.

1 It's still a fraction of what it is among males in
2 this age group.

3 DR. SAMET: And go back one to the males
4 slide, I think. One slide back. That one, yes.

5 DR. TOMAR: Yes. So what we've seen long-
6 term -- and back, I'd say, maybe seven, eight years
7 ago, there was all this talk about there being this
8 substitution effect because we were seeing this
9 increase in the use of snus and a decline in daily
10 smoking. And there were actually a number of
11 publications out of Sweden at that time claiming a
12 preventive effect when in fact when you look at it
13 long-term, where we are today, at least over the
14 past 20 years or more, there seems to be an almost
15 parallel decline. Yes, snus is still more
16 prevalent than smoking, but both seem to be
17 declining in parallel.

18 DR. SAMET: So it's interesting. If you go
19 back to the girls, and in sort of a relative steady
20 state of product availability, there's suddenly
21 this uptick in girls. And I'm just thinking about
22 the U.S., where with few exceptions, prevalence of

1 smokeless tobacco is far lower in females.

2 I wonder, Dr. Rutqvist, do you have any
3 explanation for the increment that we see here?
4 Please come to the microphone.

5 DR. RUTQVIST: Excuse me, Dr. Samet. Did
6 you mean why are young women taking up snus?

7 DR. SAMET: Yes. Why this recent increment
8 in use of snus?

9 DR. RUTQVIST: I think it has to do with
10 cultural tradition. In the old days, snus was, as
11 I mentioned, a mainly male habit. But in younger
12 age groups, differences between the sexes are
13 becoming not so apparent any longer when it comes
14 to use of both alcohol and tobacco. So I think
15 it's a time trend. It reflects societal changes.

16 DR. SAMET: Thank you.

17 Yes, John?

18 DR. LAUTERBACH: A question for
19 Dr. Rutqvist. Is the introduction or more
20 prevalence of pouched or sachet snus being made
21 more popular among the women as opposed to the
22 traditional loose product?

1 DR. RUTQVIST: I think it's quite clear that
2 both among males and females, pouched products are
3 much more popular than the loose type of snus. And
4 that is particularly the case among females, who do
5 not favor use of loose snus for obvious esthetic
6 reasons.

7 DR. SAMET: Dan?

8 DR. HECK: Just a quick question. I think
9 it's maybe been made close on those slides. But is
10 my recollection correct that Sweden is either
11 unique or one of the few developed countries where
12 the rate of smoking in adolescent females is higher
13 than that of males? Is that accurate?

14 DR. RUTQVIST: That's correct. Smoking
15 prevalence among females is higher, and I think we
16 share this position with Norway.

17 DR. SAMET: Let's go back. Bob?

18 DR. BALSTER: So I think I was one of the
19 people that suggested that we have a presentation
20 on the Swedish experience. And I think it was
21 partly --

22 DR. SAMET: Too late to recant?

1 [Laughter.]

2 DR. BALSTER: No, no, no, no. I'm not
3 recanting -- I think particularly since that
4 experience is being used to generate an awful lot
5 of conjecture about American public health policy
6 and how we ought to go forward.

7 So I would have to say, having now looked at
8 the data and heard the presentations, I'm a little
9 underwhelmed with the direct relationship between
10 that experience and development of tobacco control
11 policy in the United States for reasons that people
12 have mentioned. I mean, I think there are some
13 interesting things in there. I think it looks
14 pretty clear. I suppose we should just be clear
15 about the obvious. It looks like reductions in
16 smoking is associated with health benefits. It's
17 not only the Swedish experience that shows that. I
18 believe there are data from other places that
19 reducing cigarette smoking is associated with
20 improved health outcomes. And I think that's
21 evident in these data.

22 It's a lot less clear to me that the

1 reduction in smoking is causatively related to the
2 snus situation. I mean, it could be partly related
3 to that, but there are other things going on in
4 Sweden that could account for that change in
5 smoking, not the least of which was a pretty
6 significant change in perception of risk. But at
7 best, only a portion of change in cigarette
8 consumption is potentially related to the uptake in
9 use of snus. I don't believe it's been established
10 to my conviction that all of those related changes
11 in tobacco smoking are related to the snus.

12 Even more conjectural would be the tying of
13 the relationship of smokeless tobacco use to
14 improved health outcomes, as has been alleged.
15 It's not even clear in Sweden exactly the extent to
16 which snus contributes to the changes in health
17 outcomes. And of course, it could be very
18 specifically related to the specific snus that are
19 available there.

20 So to extrapolate from a pretty
21 tightly -- not so much regulated, but a voluntarily
22 regulated situation with a very specific type of

1 product, to extend that to all of the range of
2 smokeless products we have in the United States,
3 and more specifically the dissolvables, which is
4 getting to our point here, we're going pretty far
5 away from, I think, what the situation was in
6 Sweden to dissolvable products, which are different
7 both in their character, different in the extent to
8 which they have different constituencies in them.

9 So I would say there isn't too much
10 relationship.

11 DR. SAMET: So I'm going to take that as a
12 very long answer to 1(a).

13 [Laughter.]

14 DR. BALSTER: Yes, yes. I would say
15 that's -- yes, sorry.

16 DR. SAMET: In which you are negative about
17 making extrapolations.

18 DR. BALSTER: Just one further thing, that
19 it seemed -- you began this with a discussion of
20 initiation. It appears that if cigarettes were to
21 go away, that there seems some likelihood that
22 there would be initiation of tobacco use using

1 alternate products. And apparently, as I
2 understand it, that's happened in Sweden, so that
3 the new cohort of tobacco users in Sweden, because
4 they didn't begin smoking, are now initiating with
5 the smokeless product.

6 So I think it's reasonable to expect that if
7 we were somehow to change the character of
8 having -- of cigarettes' availability or use here,
9 that we would begin to see initiation with
10 alternative tobacco products.

11 DR. SAMET: So just to pin you down with
12 your last comment, are you stating that's a lesson
13 learned from the Swedish experience might be that
14 the availability of smokeless tobacco products that
15 are culturally -- that culturally fit, contextually
16 fit, means that some people may turn to use of
17 those alone at the time they initiate them. That's
18 the suggestion from the Swedish experience of the
19 more recent cohorts and, as we saw, with the 16- to
20 24-year-old data.

21 DR. BALSTER: I forgot the exact number, but
22 I thought Dr. Eissenberg elicited, yes, a number of

1 50 percent of the current tobacco users in
2 Sweden -- I mean, current initiators are initiating
3 on snus, if that's the number I heard correctly.

4 DR. SAMET: Tom?

5 DR. EISSENBERG: Yes. I think Bob is
6 questioning the role of snus in the Swedish drop in
7 cigarette smoking. And I echo those questions. I
8 would just say if we take it on its face value that
9 snus did play a role in the drop in cigarette
10 smoking in Sweden, and thus the drop of smoking-
11 related disease, it was because snus -- and I think
12 this is what I heard -- because snus completely
13 substituted for cigarette smoking for a large
14 portion of the population in Sweden.

15 I think it's important then to realize that
16 when it comes to dissolvable tobacco products, I
17 have not seen any systemically collected evidence
18 that would suggest that dissolvable tobacco
19 products that we have here in the U.S. will afford
20 that complete substitution. Rather, they
21 supplement cigarette use. And so if complete
22 substitution is required, I'm not sure how we can

1 extrapolate to our dissolvable tobacco products.

2 DR. SAMET: Sandrine?

3 DR. PIRARD: So to follow up similarly on
4 the health effects, so clearly we all know that if
5 you see a reduction in cigarette use, you will have
6 a significant public health effect like decreasing
7 lung cancer and so forth.

8 Now, when you look at the graph showing the
9 increase in snus -- I mean, increase and then
10 decrease in cigarettes, basically what's compared
11 is snus in tons versus cigarettes in million
12 pieces. And I wonder, on a population level, at
13 what point, basically, what kind of quantity of
14 snus being used at a population level will lead to
15 health impacts.

16 Also, I don't know if there's the same lag
17 time as what you have with cigarettes, where you
18 have to have about 20 to 30 years between uptake
19 and then really when you start seeing the health
20 effects. So what I wonder, especially with the
21 comment made, that since cigarettes is decreasing,
22 though you see more and more people initiating with

1 snus, that it might well be that 10 years from now
2 in Sweden we'll in fact see like a re-increase in
3 diseases like oral cancers and things like that.

4 DR. SAMET: Let's see. Are you wanting
5 Dr. Rutqvist to respond to your question? I think
6 there were a few questions directed at you. We can
7 probably break them down and give them to you one
8 by one.

9 DR. PIRARD: So I guess one was a comment
10 saying that, indeed, it's hard to establish any
11 causality between the fact that basically the
12 substitution from cigarettes to snus is in fact the
13 reason for a decrease in public health. And then
14 the other, I guess, which is a question, is what is
15 the lag time between an increase in snus use in the
16 population that we'll expect it to then be
17 translated in an increase in disease, such as
18 frangible oral disease.

19 DR. RUTQVIST: I'll take the second question
20 first. There is quite an extensive number of
21 analytical epidemiological studies on health
22 effects of snus. Take, for instance, the studies

1 on snus use and oral cancer. Among the snus users
2 included, for instance, the case control studies,
3 the mean duration of exposure is more than
4 20 years, and a substantial proportion of the snus
5 users in those studies have used the product for
6 more than 30 years. And still, there is no
7 increase. There is no trend with duration of use.
8 I think that essentially illustrates that exposure
9 times in the available studies is quite
10 considerable and much longer than the exposure
11 times for smoking-related disease.

12 So unless there is a qualitative difference
13 in induction time, I don't think there is reason to
14 believe that any adverse effects would emerge after
15 even longer exposures. It doesn't really make
16 sense. Also, in the cohort studies, if you look at
17 risk of lung cancer, risk of other type of smoking-
18 related cancer, there is no trend with duration of
19 use in the available studies.

20 The first question, could you please repeat
21 that one?

22 DR. PIRARD: Yes. So my question was, when

1 we see the graph we have on one hand snus in tons
2 and then on the other hand cigarettes in million
3 pieces, and when we see a decrease, I mean, it's
4 expected that if you see a decrease in cigarette
5 use at a population level will lead to a decrease
6 in lung cancers and so forth, which is what you
7 see.

8 But when would you expect, at a population
9 level, to really see a significant increase in some
10 of the cancer -- I mean, what kind of threshold
11 would you see? I'm not quite sure exactly what
12 snus in tons and kind of -- I mean, I guess maybe
13 I'm not phrasing this correctly. But clearly, what
14 you see with cigarettes is that, as I said, there
15 is not only a time lag, but also there is some kind
16 of proportion, I guess, of the population. And
17 then you start seeing the effect 30 years after.

18 So what I was wondering is that, now that it
19 seems like people have an increased use in snus,
20 somehow could you speculate on what will happen
21 maybe 15 years from now or even 10 years from now
22 as a result of this kind of increase that you have

1 seen over the last 10, 20 years or so?

2 DR. RUTQVIST: You mean in terms of health
3 effects?

4 DR. PIRARD: Yes.

5 DR. RUTQVIST: Well, I would say that this
6 type of ecological analysis serves illustrative
7 purposes, at best. If you're really interested in
8 health effects, I think you need to look at
9 analytical epidemiological studies. I don't think
10 this type of population level data really tells you
11 very much. But it's illustrative for a
12 presentation like this, but you really need to look
13 at the epi data.

14 DR. SAMET: I would think, actually, the
15 general point -- again, it goes back to the
16 surveillance issues. In a marketplace of changing
17 products and exposures, the question of how one
18 tracks risk at the population level becomes very
19 complicated. In Sweden, there have been diverse
20 case control studies, cardiovascular disease,
21 cancers, and so on, and a number of cohort studies.
22 And some of them find links to snus use; pancreatic

1 cancer, for example, I think in the Swedish
2 construction workers in one of the cohorts.

3 So the challenge, I think, is -- and I think
4 that's what you're alluding to, is exposure at the
5 population level changes, how does one really know
6 what's going on. And again, I think that's part of
7 the center's broader mission of surveillance, and
8 one that needs to be thought through.

9 I think, Bob, you had a question.

10 DR. BALSTER: Well, I was just wondering:
11 Are there any oral health effects that are widely
12 attributed to snus use in Sweden?

13 DR. RUTQVIST: Well, particularly with the
14 old type of loose snus, there is this problem with
15 effects on the gingiva and gingival retraction and
16 exposure to dental services. That risk is much
17 decreased with pouch products.

18 DR. SAMET: Scott, do you want to comment on
19 that question as well? This is certainly an area
20 you've tracked closely.

21 DR. TOMAR: Sure. There's certainly
22 evidence that use of snus products is associated

1 with, among other things, localized gingival
2 recession. Yes, actually, there were a few studies
3 that suggest a lower incidence of recession among
4 those that use the pouch form.

5 The one caveat, though, is that so many of
6 the Swedish studies were in fact industry-
7 sponsored. Again, as somebody who teaches
8 evidence-based dentistry to my students, it's a
9 factor to consider in interpreting these. There's
10 no doubt that these products are associated with
11 oral mucosal lesions that are really of a type only
12 found in snus or other smokeless tobacco products.

13 DR. SAMET: Thank you.

14 Dan?

15 DR. HECK: Just to follow up on the earlier
16 question about the oral cancer, and I think
17 Dr. Tomar has touched on that as well, one thing
18 that's often forgotten is that the oral cancer
19 risk, odds ratio as a relative risk for smoking,
20 are in the order of 5 to 10. And even for the
21 traditional moist snuffs, those studies mostly
22 hover around 1.0 to 2. I just didn't want to lose

1 in the mix the fact that cigarette smoking is a
2 rather significant risk factor for oral cancer
3 itself.

4 DR. SAMET: Thank you.

5 Dorothy?

6 DR. HATSUKAMI: I wanted to ask a question
7 to Dr. Rutqvist. Do you observe or do you have any
8 data that would indicate that there's a graduation
9 of the use of smokeless tobacco products where
10 adolescents or maybe youth or young adults might
11 start at a lower level of nicotine but graduate to
12 higher levels of nicotine smokeless oral tobacco
13 products?

14 DR. RUTQVIST: I am aware of the data here
15 from the United States, where it's been suggested
16 that there is this kind of graduation for
17 first-time users to more experienced users. I'm
18 not aware of any data to suggest that that
19 phenomenon exists in Sweden. If you look at
20 different age groups, what are the popular brands
21 in different age groups, it's the same brands.
22 It's the same brand that's most popular in young

1 people versus older people. It's the large,
2 historical brands.

3 DR. SAMET: John?

4 DR. LAUTERBACH: Yes, Dr. Samet. I have two
5 questions for Dr. Rutqvist. First question related
6 to the reference to diabetes. What is the typical
7 sugar content of Swedish snus?

8 DR. RUTQVIST: There is no added sugar in
9 Swedish snus products. The only sugar that's there
10 is the natural sugar that's in the tobacco leaves.

11 DR. LAUTERBACH: The second question, how
12 does the labeling of your product in terms of
13 government health warnings differ if you were to
14 sell the product in Sweden versus selling the same
15 product here in the United States?

16 DR. RUTQVIST: It's the European health
17 authorities that determine the health warnings on
18 snus products in Europe. And 10 years
19 ago -- previously, there was a cancer warning on
20 the snus cans, but that was removed 10 years ago
21 because the European health authorities didn't find
22 that there was any scientific evidence to link

1 Swedish snus to oral cancer. So now there is a
2 more generic health warning, that it's a tobacco
3 product, and that it may be addictive.

4 DR. SAMET: Patricia?

5 DR. HENDERSON: Just some general questions.
6 How many people are in Sweden?

7 DR. RUTQVIST: Ten million.

8 DR. HENDERSON: Ten million. And then for
9 the demographics that you provided on the graphs,
10 is there other data that you have other than
11 gender, like race or income?

12 DR. RUTQVIST: Race is normally not recorded
13 in Swedish databases because we have -- the native
14 population in Sweden is very, very small. So race
15 is normally not recorded.

16 DR. HENDERSON: Income (inaudible - off
17 mic)?

18 DR. RUTQVIST: Oh, yes. Oh, yes. If we're
19 talking about other socioeconomic factors and so
20 on, yes. That is available. Education, domicile,
21 and so on. Oh, yes. That's available.

22 DR. SAMET: John?

1 DR. LAUTERBACH: I just wanted to have
2 Dr. Rutqvist answer the second part of my question.
3 He told us about the health warnings in Sweden.
4 And the question I asked was if the same product
5 was sold here in the United States, what would the
6 health warnings have to be?

7 DR. RUTQVIST: Well, I'm sure you're aware
8 that here in the United States, there needs to be
9 rotating health warnings, including one warning for
10 oral cancer.

11 DR. LAUTERBACH: Thank you.

12 DR. SAMET: Other committee questions? I do
13 want to draw us back to question number 1. But we
14 had the benefits of having two very knowledgeable
15 individuals with us, and you've been very helpful
16 to us.

17 Any other questions?

18 [No response.]

19 DR. SAMET: So let's just go back to
20 questions we have to answer and stop asking
21 questions. And I think I've heard a fair amount of
22 consensus about being very cautious with

1 extrapolation. I think we've heard that. And I
2 think, in writing a report, I think we could say
3 why we are cautious. I think there's been enough
4 voiced, I think both Dorothy and Bob and others
5 were very good about making that suggestion.

6 Back to the usages, I think we've heard
7 relatively little about this topic. I do think
8 this is one we'll come back to. Let us ask, just
9 is there anything else that we've heard today that
10 we wanted to tuck away under lessons learned for
11 our report? I'm not sure there is, but let's just
12 make sure that's the case.

13 [No response.]

14 DR. SAMET: Let me check with those on the
15 phone, Mark and Arnold, whether you have any
16 additional insights or thoughts you want to add.

17 DR. CLANTON: No.

18 MR. HAMM: None for me, either.

19 DR. SAMET: All right.

20 Patricia?

21 DR. HENDERSON: I think it's just that the
22 Swedish population is not as diverse as the United

1 States, and that's something that we need to take
2 into consideration.

3 DR. SAMET: I think we've answered our first
4 question. We have a lot to go. Let's see. And
5 I'm not sure we have any other -- that's the end of
6 our business for today.

7 So let me ask if we can break up early.
8 I'll just remind everyone that tomorrow we're
9 starting at 8:00 a.m. again, and just see if
10 there's anything else before we come to a close for
11 the day.

12 [No response.]

13 **Adjournment**

14 DR. SAMET: Okay. Then we are done. And
15 thanks, everybody, and thanks in particular to our
16 presenters.

17 (Whereupon, at 3:59 p.m., the open session
18 was adjourned.)

19

20

21

22