

1 PROPOSAL TO WITHDRAW APPROVAL FOR THE
2 BREAST CANCER INDICATION FOR BEVACIZUMAB (AVASTIN)
3

4
5
6 FDA PUBLIC HEARING
7

8
9 TUESDAY, JUNE 28, 2011

10 8:00 a.m. to 3:30 p.m.
11

12
13
14 FDA White Oak Campus
15 White Oak Conference Center
16 Building 31, The Great Room
17 Silver Spring, Maryland
18
19
20
21
22

1 **MEETING ROSTER**

2 **ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBERS (Voting)**

3 Frank Balis, M.D.

4 The Louis and Amelia Canuso Family Endowed

5 Chair for Clinical Research in Oncology

6 The Children's Hospital of Philadelphia

7 University of Pennsylvania School of Medicine

8 Philadelphia, PA 19104

9
10 Ralph Freedman, M.D., Ph.D.

11 Clinical Professor

12 Department of Gynecologic Oncology

13 The University of Texas

14 M.D. Anderson Cancer Center

15 Houston, TX 77230

16
17 Brent Logan, Ph.D.

18 Associate Professor of Biostatistics

19 Division of Biostatistics

20 Medical College of Wisconsin

21 Milwaukee, WI 53226

22

1 Mikkael Sekeres, M.D., M.S.
2 Associate Professor of Medicine Staff
3 Cleveland Clinic Taussig Cancer Institute
4 Department of Hematologic Oncology and
5 Blood Disorders
6 Cleveland, OH 44195

7
8 Wyndham Wilson, M.D., Ph.D.
9 Chief, Lymphoma Therapeutics Section
10 Metabolism Branch
11 Center for Cancer Research
12 National Cancer Institute
13 Rockville, MD 20892

14
15 **INDUSTRY REPRESENTATIVE (Non-Voting)**

16 Gregory Curt, M.D.
17 U.S. Medical Science Lead, Emerging Products
18 AstraZeneca Oncology
19 Garrett Park, MD 20896

20
21
22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

TEMPORARY VOTING MEMBER

Natalie Compagni-Portis, Psy.D.

Patient Representative

Oakland, CA 94611

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Opening Statement by Presiding Officer	
4	Karen Midthun, M.D.	6
5	Presentation by Non-Parties	19
6	Affirmative Presentation by CDER	125
7	Questions by Genentech	212
8	Questions by Advisory Committee and	
9	Presiding Officer	269
10	Clarifying Questions of	
11	CDER Witnesses by CDER	308
12	Adjournment	310
13		
14		
15		
16		
17		
18		
19		
20		
21		
22		

P R O C E E D I N G S

(8:01 a.m.)

Opening Statement by Presiding Officer

DR. MIDTHUN: Good morning. Can everyone hear me?

Good morning and welcome to this hearing. I am Dr. Karen Midthun, the director of the Center for Biologics Evaluation and Research. However, this morning, I am acting in the capacity as presiding officer in this hearing. I am doing so at the request of Commissioner Margaret Hamburg. Based on the record created, Dr. Hamburg will make the final decision on the issues presented at this hearing. She will take into account what transpires at this hearing and the submissions to the public docket. Dr. Hamburg asked me to convey how seriously she takes this hearing and the need for the science to be presented and examined in an open, systematic and thoughtful manner.

As we begin this hearing, I also want to underscore that FDA understands the enormous challenges we face with respect to breast cancer,

1 especially metastatic breast cancer, and how hard
2 it is for patients and the families trying to cope
3 with this devastating disease and the need for more
4 effective treatments. I will begin by discussing
5 what is at issue in this hearing and then describe
6 the procedures we will follow today and tomorrow.

7 At the outset, I want to make clear that
8 this hearing has a very specific focus and
9 structure. This hearing is about Avastin's
10 indication for use in combination with paclitaxel
11 for the treatment of patients who have not had
12 chemotherapy for metastatic HER2 negative breast
13 cancer. Avastin is also an approved treatment for
14 advanced colon, lung, kidney and brain cancers.
15 Avastin's approval with respect to these cancers is
16 not a topic at this hearing.

17 The approval of Avastin for the treatment of
18 metastatic breast cancer was pursuant to FDA's
19 authority to approve certain drugs under an
20 accelerated procedure. This procedure is available
21 when FDA concludes there is some evidence the drug
22 will provide a clinical benefit that justifies its

1 risk, but there is not sufficient evidence to
2 support a traditional approval. This kind of
3 accelerated approval is subject to a requirement
4 that the applicant must perform additional, well-
5 controlled clinical investigations to verify and
6 describe the expected clinical benefit.

7 Here, the applicant, Genentech, completed
8 two additional clinical trials, which you will hear
9 referred to as AVADO and RIBBON 1. The Center for
10 Drug Evaluation and Research, which is responsible
11 for the approval of this drug, ultimately concluded
12 that the results of these additional studies did
13 not justify continued approval. Therefore, the
14 Center notified Genentech it was proposing to
15 withdraw approval of this indication for the drug.

16 Genentech did not agree with the Center's
17 evaluation of the data, and following the
18 procedures set out in FDA regulations, requested a
19 hearing on the Center's proposal to withdraw the
20 approval. When a hearing is granted on a proposal
21 to withdraw an accelerated approval, the center
22 proposing to withdraw the approval and the

1 applicant become parties to a hearing.

2 Representatives of the two parties, the
3 Center for Drug Evaluation and Research and
4 Genentech, are sitting at the front of the room
5 with the Center to my left and Genentech to my
6 right. Also in attendance at this hearing are
7 members of the oncologic drugs advisory committee,
8 and they're sitting immediately to my left. They
9 will consider the evidence presented at this
10 hearing and will tomorrow afternoon provide their
11 advice and recommendation for the Commissioner's
12 consideration.

13 As I noted earlier, Dr. Hamburg, the
14 Commissioner of Food and Drugs, will make the final
15 decision on whether or not to withdraw the approval
16 of Avastin for the metastatic breast cancer
17 indication. Her decision will be based on the
18 record compiled during the hearing, which includes
19 the information presented here today as well as
20 information submitted to the docket.

21 The public docket has been open for many
22 months, since the time when the Center announced

1 its initial proposal to withdraw approval last
2 December, and many people and organizations have
3 submitted comments. In addition, all the
4 submissions by the parties, including the
5 scientific studies upon which they rely, are
6 included in the public docket. The docket will
7 continue to remain open until July 28th of this
8 year at which point it will close.

9 Where we had earlier stated the docket would
10 close on July 14, Genentech and the Center have
11 jointly requested an opportunity to have until
12 July 28th to submit their final summaries for their
13 respective views on these issues, and I have
14 granted that request and have decided that in light
15 of that request, we will keep the docket open for
16 every submission until July 28th.

17 There are four issues to be addressed in
18 this hearing, and those issues are dictated by the
19 statute and regulations relating to accelerated
20 approval. The issues which were set out in the
21 Notice of Hearing are as follows:

22 1, do the AVADO and RIBBON 1 trials' failure

1 to verify the clinical benefit of Avastin for the
2 breast cancer indication for which it was approved;

3 2(a), does the available evidence on Avastin
4 demonstrate that the drug has not been shown to be
5 effective for the breast cancer indication for
6 which it was approved;

7 2(b), does the available evidence on Avastin
8 demonstrate that the drug has not been shown to be
9 safe for the breast cancer indication for which it
10 was approved and that Avastin has not been shown to
11 present a clinical benefit that justifies the risks
12 associated with use of the product for this
13 indication;

14 3, if the Commissioner agrees with the
15 grounds for withdrawal set out in Issue 1,
16 Issue 2(a) or Issue 2(b), should FDA nevertheless
17 continue the approval of the breast cancer
18 indication while the sponsor designs and conducts
19 additional studies intended to verify the drug's
20 clinical benefit?

21 This morning, our hearing will begin with an
22 opportunity for pre-registered members of the

1 public to provide their views. Thirty-five people
2 have signed up to make public presentations, and
3 we've divided the time equally so they have up to
4 three minutes each. To help us keep on schedule
5 and ensure all who have signed up to speak have the
6 opportunity to do so, we have a light system that
7 will go from green to yellow when there is one
8 minute left and then to red to let the speaker know
9 their time has come to an end. We will all listen
10 with interest to the presentations, but there will
11 not be any questions asked of the presenters.

12 After the public presentations this morning,
13 which will take about two hours, we will take a
14 short break and then move on to the presentations
15 by the two parties.

16 This is how we will proceed. First,
17 presenters from the Center for Drug Evaluation and
18 Research will explain the Center's reasons for the
19 proposed withdrawal. The presenters will take
20 turns speaking from the lectern, over there, and
21 then will sit at the tables to my right, over
22 there. They will have two hours to make their

1 presentations. That should take us to about 12:30,
2 and we will have a one-hour period for lunch.

3 There will then be a one-hour opportunity
4 for representatives of Genentech to ask questions
5 of the Center presenters. After that, there will
6 be a one-hour opportunity for me and for members of
7 the advisory committee to ask questions of the
8 Center presenters. There will then be a 15-minute
9 opportunity for Center representatives to ask the
10 Center presenters any clarifying questions. After
11 that, we will break for the day.

12 Tomorrow, we will start with a two-hour
13 opportunity for Genentech to present the reasons it
14 believes the approval should be continued. After a
15 short break, Center representatives will have a
16 one-hour opportunity to ask questions of the
17 Genentech presenters. There will then be a
18 one-hour opportunity for me and the members of the
19 advisory committee to ask questions of the
20 Genentech presenters followed by a 15-minute
21 opportunity for Genentech representatives to ask
22 the Genentech presenters any clarifying questions.

1 We will then break for lunch.

2 After lunch tomorrow, there will be an
3 opportunity for members of the advisory committee
4 to discuss the issues presented. This will be a
5 public discussion, but only advisory committee
6 members and I will participate in that discussion.
7 The discussion will be followed by a vote by the
8 advisory committee members on their recommendations
9 with respect to the four issues presented. All of
10 the members of the committee, except for the member
11 whose role is to represent the views of industry,
12 may vote.

13 The vote of the advisory committee will not,
14 of course, decide the issues. Instead,
15 Commissioner Hamburg will consider the advisory
16 committee's recommendations along with the rest of
17 the record as she makes the final decision.

18 At the end of the day tomorrow, I will have
19 a few closing remarks, and that will end the oral
20 part of the hearing. Everyone will then have until
21 July 28th to submit anything they wish to submit in
22 writing to the hearing docket.

1 One recent development requires a further
2 explanation to the advisory committee members and
3 to the public. On June 23rd, 2011, counsel for
4 Genentech provided counsel for the Center notice
5 that it may address at the hearing updated safety
6 data for the National Surgical Adjuvant Breast and
7 Bowel Project C08 trial of Avastin's effect in
8 combination with N-FOLFOX 6 in early stage colon
9 cancer that had recently become available to it.

10 Given that the information was submitted
11 very close in time to the hearing, I recognize that
12 the Center may not have had sufficient time to
13 review the information and may not be prepared to
14 address it in the oral portion of this hearing. If
15 Genentech does address these data in the hearing,
16 the Center may provide comments regarding this
17 information in its post-hearing submission to a
18 docket by July 28th.

19 The next step in the hearing will be for
20 Dr. Michael Ortwerth, director of the Advisory
21 Committee Oversight and Management staff in the
22 FDA's Office of Special Medical Programs, to read a

1 short statement concerning the conflict of interest
2 clearance of the advisory committee members. After
3 that statement, we will move to presentations by
4 members of the public.

5 Dr. Ortwerth.

6 DR. ORTWERTH: Good morning. Thank you.

7 With the exception of the industry
8 representative, all members of the committee are
9 special government employees and are subject to
10 federal conflict of interest laws and regulations.
11 The following information on the status of the
12 committee's compliance with federal ethics and
13 conflict of interest laws, covered by but not
14 limited to those found in 18 U.S.C. Section 208 and
15 Section 712 of the Federal Food, Drug and Cosmetic
16 Act, the FD&C Act, is being provided to
17 participants in today's hearing and to the public.

18 FDA has determined that members of this
19 committee are in compliance with federal ethics and
20 conflict of interest laws. Under 18 U.S.C.
21 Section 208, Congress has authorized FDA to grant
22 waivers to special government employees and regular

1 federal employees who have potential financial
2 conflicts when it is determined that the agency's
3 need for a particular individual's services
4 outweighs his or her potential financial conflict
5 of interest.

6 Under Section 712 of the FD&C Act, Congress
7 has authorized FDA to grant waivers to special
8 government employees and regular federal employees
9 with potential financial conflicts when necessary
10 to afford the committee essential expertise.

11 Related to the discussion of today's hearing,
12 members of this committee have been screened for
13 potential financial conflicts of interests of their
14 own as well as those imputed to them, including
15 those of their spouses or minor children, and for
16 purposes of 18 U.S.C. Section 208, their employers.
17 These interests may include investments,
18 consulting, expert witness testimony, contracts,
19 grants or CRADAs, teaching, speaking, writing,
20 patents and royalties and primary employment.

21 Based on the agenda for today's hearing and
22 all financial interests reported to the committee

1 members, no conflict of interest waivers have been
2 issued in connection with this hearing.

3 With respect to FDA's invited industry
4 representative, we would like to disclose that
5 Dr. Gregory Curt is participating in this hearing
6 as a nonvoting industry representative acting on
7 behalf of regulated industry. Dr. Curt's role in
8 this hearing is to represent industry in general
9 and not any particular company. Dr. Curt is
10 employed by AstraZeneca.

11 We would like to remind members that if the
12 discussion involves any other product or firm not
13 already on the agenda for which an FDA participant
14 has a personal or imputed financial interest, the
15 participant needs to exclude themselves from such
16 involvement, and their exclusion will be noted for
17 the record.

18 We ask that the advisory committee members
19 take care that their conversation about the topic
20 at hand take place at the open forum public
21 hearing. The committee is reminded to refrain from
22 discussing the hearing topic during breaks or lunch

1 or between the end of today's session and the start
2 of tomorrow's. Thank you very much.

3 **Presentation by Non-Parties**

4 DR. MIDTHUN: Thank you.

5 We will now move on to the next portion of
6 our hearing. For the next two hours, we will be
7 receiving comments from persons other than the
8 parties. FDA places great importance on public
9 participation in this process. The insights and
10 comments provided can help the agency and this
11 committee in the consideration of the issues before
12 them.

13 For those planning to speak during these two
14 hours, I thank you for taking the time to
15 participate in this hearing today. You as well as
16 other members of the public also may submit
17 comments to the docket. One of our goals today is
18 to listen carefully to our participants so that
19 those who have signed up to speak have the
20 opportunity to do so. I will call on you in
21 numerical order, and we will be using a lighting
22 system. The light will turn yellow when you have

1 one minute left and will turn red when the allotted
2 time is over. I thank you for respecting that, and
3 I will now ask the first speaker, Lisa Schlager, to
4 come to the microphone.

5 MS. SCHLAGER: Good morning. Facing Our
6 Risk of Cancer Empowered represents about three-
7 quarters of a million people living with a BRCA
8 mutation or hereditary cancer risk. Our community
9 includes women with advanced breast and ovarian
10 cancer, many of whom have benefited from Avastin.
11 We are strongly opposed to the FDA removal of the
12 metastatic breast cancer label from the indications
13 for Avastin.

14 This change will halt access for newly-
15 diagnosed women with metastatic cancer who may
16 benefit from this therapy. Likewise, insurance
17 companies may use the FDA decision to restrict
18 reimbursement for those currently benefitting and
19 responding to the drug.

20 Research shows that certain women with
21 metastatic breast cancer benefit from the drug.
22 Although we do not yet know who benefits most, we

1 know that BRCA-associated cancers respond to
2 certain treatments differently than sporadic
3 cancers, and there is anecdotal evidence to suggest
4 that BRCA mutation patients may be among those that
5 respond well.

6 FORCE conducted a pilot survey among our
7 metastatic cancer patients. The numbers have not
8 been fully analyzed, but we're working with the
9 researchers at Moffitt Cancer Center to review the
10 data more completely. We asked these women whether
11 they had ever taken a therapeutic agent for their
12 metastatic cancer and whether their oncologists
13 felt that their cancer responded.

14 Among the women who indicated that they
15 received Avastin, all reported a positive response.
16 Nearly half of those who had taken the drug said
17 their cancer responded and has not progressed. The
18 number with no progression was greater for Avastin
19 than other agents approved for metastatic breast
20 cancer, including capecitabine. While our survey
21 is preliminary, it suggests that the hereditary
22 cancer community responds well to the drug.

1 Those with a BRCA mutation or hereditary
2 breast cancer already face significant burdens.
3 Our cancers are earlier in onset, more aggressive,
4 and often strike multiple family members. We
5 implore the FDA not to limit the treatment options
6 for our community.

7 I'd like to read a quote from one of our
8 members. "Nearly three and a half years ago, I was
9 diagnosed with Stage 4 breast cancer with mets on
10 my liver. After being advised of the potential
11 side effects, I chose to enroll in a clinical trial
12 which included Avastin. I was able to measure the
13 tumor in my breast with a ruler and watch it shrink
14 before my eyes. PET scans revealed the same result
15 in my liver, along with descending tumor markers.
16 After six months, a PET scan revealed no evidence
17 of disease. All of my scans since then have shown
18 the same result. Nearly three years without
19 evidence of disease.

20 "This is why I am asking that Avastin stay
21 available for metastatic breast cancer patients.
22 After diagnosis, I learned that I have a BRCA2

1 mutation. Is it possible that Avastin works
2 particularly well in those with BRCA mutations? I
3 am living, breathing proof that Avastin works for
4 some people. Continued access is critical. FORCE
5 agrees that further research is needed, but we need
6 to move beyond one size fits all cancer treatment."

7 [Applause.]

8 DR. MIDTHUN: Thank you.

9 Will the next speaker please come to the
10 podium, Steve Walker?

11 MR. WALKER: My name is Steve Walker. I'm
12 cofounder of the Abigail Alliance for Better Access
13 to Developmental Drugs, a nonprofit, nonpartisan
14 patient advocacy organization dedicated to
15 assisting people with serious and life-threatening
16 diseases and unmet needs. I'm an unpaid volunteer.
17 I pay my own expenses, and I have no financial
18 conflicts regarding this hearing.

19 The Abigail Alliance strongly opposes the
20 FDA's decision to rescind the accelerated approval
21 of Avastin for the first-line treatment of
22 metastatic breast cancer. Based on our

1 comprehensive knowledge of the law, regulations and
2 the science at hand, we agree with the sponsors
3 that Avastin continues to meet the standard for
4 accelerated approval and should retain that
5 approval.

6 I'd also like to note that this hearing is
7 taking place within a procedurally corrupt
8 administrative process. Dr. Pazdur selects all the
9 appointed ODAC members from the nominations
10 submitted for those posts. He also decides when
11 temporary voting consultants will be added to an
12 ODAC meeting and directly selects them, no
13 nominations or prescreening required.

14 Dr. Pazdur's control of this ODAC is
15 relevant to this hearing. Much of the record
16 leading us to this hearing consists of discussions
17 and votes on the prior ODAC meetings regarding this
18 indication, and the panel hearing this appeal
19 consists of a subset of current ODAC members.

20 Five members of the current ODAC roster are
21 present today. Dr. Balis was selected for ODAC by
22 Dr. Pazdur after this hearing was requested by

1 Roche Genentech and scheduled by the FDA. The rest
2 were selected for ODAC by Dr. Pazdur before the
3 hearing was scheduled.

4 These facts were confirmed to me by Jayne
5 Peterson, deputy director of the CDER advisory
6 committee office, and Dr. Michael Ortwerth,
7 director of the advisory committee staff in the
8 FDA's commissioner's office in a teleconference
9 last Thursday.

10 With the exception of Dr. Balis who is new
11 and Dr. Curt, who isn't allowed to vote, they all
12 voted to rescind approval in the July 2010 ODAC
13 meeting. Ms. Portis, the patient representative,
14 attended both prior ODAC meetings and voted against
15 the indication both times.

16 None of the voting physicians on the panel
17 appear to be engaged in treating breast cancer as a
18 significant part of their clinical research or
19 medical practice. And we have recently learned
20 that CDER has at least partial control of which
21 patient representative is selected for this
22 specific meeting. I asked again this morning about

1 that, and I still don't have a clear answer.
2 They're saying no for the Avastin hearing, so I'll
3 take them at their word.

4 In March, Genentech raised serious and well-
5 founded concerns with FDA regarding the biased
6 nature of the ODAC. FDA dismissed those concerns
7 stating that CDER vigorously protects the
8 independence and balance of federal advisory
9 committees. The Abigail Alliance strongly
10 disagrees with FDA on this point. Dr. Pazdur's
11 complete control of ODAC allows him to preordain
12 the advice and opinions he receives from his
13 committee, neutralizing the FDA's decision to
14 observe separation of functions in this case and
15 rendering this hearing essentially a sham.

16 To our panel here today, the patient
17 community is going to hold everyone involved in
18 this hearing accountable for bringing personal and
19 professional integrity to this process. Given the
20 circumstances, we think you should consider whether
21 recusing yourself is the only way to do that. It's
22 too late to do anything else.

1 I will have copies of this complete with
2 footnotes documenting everything I said. There are
3 about 300 of them out on the table out there, and
4 you're welcome to take one. Thank you.

5 [Applause.]

6 DR. MIDTHUN: Thank you.

7 Would the next speaker please come to the
8 podium, Patricia Howard.

9 MS. HOWARD: Good morning. My name is Pat
10 Howard, a metastatic breast cancer patient who
11 since participating in a 2007 New York City
12 clinical trial of Avastin owes her life to Avastin.
13 Although I'm not affiliated with Genentech or any
14 other particular group, I thank you for giving me
15 the opportunity to speak with you today.

16 At the last meeting on Avastin July 20th,
17 2010, I, representing thousands of women taking
18 Avastin, was the only patient who spoke before you.
19 At that time, I mentioned that Avastin has given me
20 a super quality of life to enjoy the birth of two
21 grandchildren. I'm here today telling you that
22 another grandchild was born since we first met.

1 During that meeting, you said that Avastin
2 only takes its patients to first base but that you
3 were looking for a home run. According to the data
4 presented by you at that meeting, I should have
5 been dead years before. I'm still here and happy
6 to tell you that I'm still in the game, eager and
7 willing to take a base at a time until I reach home
8 plate.

9 It was apparent from that meeting on
10 Avastin, although approved by you for use in
11 treating other cancers, that it was not your drug
12 of choice for metastatic breast cancer treatment.
13 Please hear me. It is mine. Attesting to the fact
14 that I am alive due to Avastin, I can only hope and
15 pray that you continue to offer me that choice.
16 I'm not just a piece of anecdotal evidence. I'm a
17 wife, mother, sister, aunt and grammy and a friend
18 and a vibrant human being worthy of the dignity of
19 being treated as such. I'm not just a statistic.
20 It's in your hands to ensure that I don't become
21 one.

22 For some inexplicable reason, Avastin works

1 successfully in some women such as me, women who
2 are labeled super responders. Due to Avastin, I'm
3 experiencing a quality of life that is nothing
4 short of miraculous. Both I and my doctors have
5 complete faith in the drug. As with all
6 medications, there are side effects to Avastin.
7 However, my doctors have been able to keep those
8 side effects at bay while continuing my treatment.

9 Yesterday, I was in New York City to undergo
10 yet another scan. The result was beyond belief.
11 According to my doctors, there was no evidence of
12 the disease. To be honest, I walk a tightrope from
13 scan to scan, but I'm happy to be able to perform
14 in life's arena. I have the utmost confidence that
15 I'll be able to continue to perform with the help
16 of Avastin.

17 I ask you that today, that you please hear
18 me plea to continue to be that wife, mother,
19 sister, aunt, friend and grammy for many years to
20 come. I never thought in the United States I would
21 have to beg for a drug that is keeping me and many
22 others alive.

1 Please approve Avastin as a treatment for my
2 disease. What if I was your wife, your mother,
3 your sister, your friend, and what if I was your
4 grammy?

5 [Applause.]

6 DR. MIDTHUN: Thank you.

7 Will the next speaker, Nancy Haunty, please
8 come to the microphone?

9 MS. HAUNTY: Good morning. My name is Nancy
10 Haunty. I'm from Seattle, Washington. I'm 41
11 years old, and I have metastatic breast cancer,
12 diagnosed in September of 2009. I was successfully
13 treated with Avastin given in combination with
14 Abraxane and have been progression-free for 21
15 months.

16 I respect that the FDA has an incredibly
17 difficult job balancing the need to maintain high
18 safety and efficacy standards with the desire of
19 patients like me who want access to new and
20 promising treatments. I wish I could provide more
21 than my individual case, as I know there are many
22 variables. However, I hope the committee will

1 consider individual experiences presented today as
2 we represent the story behind the numbers.

3 At the time of my diagnosis, I had 14 tumors
4 in my liver, over 30 in my lungs, and two in my
5 spine. I was in excruciating around-the-clock
6 pain. After three months of Avastin and Abraxane,
7 the tumors in my body had decreased in size by
8 nearly 50 percent, the hypermetabolic activity was
9 greatly reduced, and my pain was nearly eliminated.
10 By June of last year, all my tumors were quiet and
11 many had resolved completely.

12 I tolerated Avastin extremely well with very
13 minimal side effects. I understand and appreciate
14 that academic research typically and appropriately
15 discounts anecdotal evidence. But isn't the
16 scientific evidence merely a collection of
17 individual anecdotes?

18 The median survival benefit and progression-
19 free disease statistics on Avastin may be
20 disappointing in aggregate, but individual results
21 are dramatic. I am now 21 months from diagnosis,
22 symptom free from my cancer. I work full-time, and

1 I have excellent quality of life.

2 In spite of disappointing survival benefit,
3 Avastin has been shown to improve progression-free
4 disease, which from a patient's perspective cannot
5 be understated. In the summer of 2010, I climbed
6 to the summit of Mount Adams, the second highest
7 speak in Washington state. I can't think of a
8 better way to describe progression-free survival
9 than spending four days on a mountain climbing to
10 over 12,000 feet.

11 I'm heartbroken that Avastin does not work
12 well for all patients as it has for me, but the
13 same argument could be made for other treatments
14 that work well for some but fail others.

15 Finally, I want to stress that patients are
16 capable of making informed decisions, working
17 closely with their oncologist to weigh the risks
18 and benefits in the context of their individual
19 circumstances. My 76-year-old mother, who was
20 diagnosed with metastatic breast cancer five months
21 before I was, would not choose Avastin, but as a
22 40-year-old with aggressive and extensive mets,

1 Avastin was a logical choice.

2 Like all other treatments I've received over
3 the years, I signed an informed consent prior to
4 receiving Avastin. I understood the risks and
5 potential benefits. Thank you for your time and
6 consideration.

7 [Applause.]

8 DR. MIDTHUN: Thank you.

9 Would the next speaker, Crystal Hanna,
10 please come to the microphone?

11 MS. HANNA: My name is Crystal Hanna, and I
12 will be celebrating my 36th birthday on July 1st.
13 The pictures on the screen are those of me and my
14 family. I was diagnosed with breast cancer in
15 October 2008. I was a runner and had just
16 completed a half marathon in my hometown of
17 Parkersburg, West Virginia. My son Alex was one
18 year old, and my daughter Riley was 4 years old. I
19 had a very aggressive treatment plan which included
20 surgery followed by six months of chemotherapy and
21 seven weeks of daily radiation. Afterward, my next
22 scan was clean. I went back to working full-time,

1 and I even started running again. We thought we
2 could put that chapter behind us.

3 It was devastating in July of 2010 when a
4 routine PET scan revealed that I had multiple
5 tumors in my liver and bones. I was referred to
6 Dr. Jame Abraham at West Virginia University
7 Hospital who has dedicated his career to breast
8 cancer research. He strongly recommended Avastin
9 in combination with Taxol.

10 My next scan was done three months later at
11 MD Anderson in Houston, Texas. We went there for a
12 second opinion, and it showed significant response
13 to therapy. A team of medical experts agreed that
14 I should stay on Avastin for as long as I show
15 benefit.

16 It has been nearly one year now with follow-
17 up scans every three months, and there is currently
18 no evidence of active disease. I'm a testament
19 that the drug does work. Thank God for answered
20 prayers. I've personally had no side effects from
21 Avastin over the last year. Because of my results,
22 I've had more quality time with my family which

1 included seeing my daughter get baptized, taking a
2 vacation to Disney World, attending my brother's
3 wedding, and walking the neighborhood with my
4 parents.

5 Every moment is important to us. Avastin
6 gives us hope. We are counting on the FDA to make
7 the right decision, one that enables all patients,
8 including those newly diagnosed, to have Avastin as
9 an option. Each patient is unique and responds
10 differently. It is morally and ethically wrong to
11 stop treatment for those benefitting.

12 If the FDA removes breast cancer indication
13 from the label, my insurance likely won't pay, and
14 I can't afford the drug otherwise. If you were me
15 or I was your loved one, wouldn't you want a
16 specialist recommending treatment and the freedom
17 to choose the best options?

18 Please have compassion and value my life.
19 I'm not just a statistic. Keep breast cancer on
20 label so that I and others like me can celebrate
21 more birthdays. I pray for a cure. Until then, I
22 pray for effective drugs for those who need them

1 and for me, that drug is Avastin. Thank you and
2 may God bless all those who face cancer.

3 [Applause.]

4 DR. MIDTHUN: Thank you.

5 Would the next speaker, Priscilla Howard,
6 please come to the microphone?

7 MS. HOWARD: I am a triple negative
8 metastatic breast cancer patient who has been on
9 Avastin with Xeloda, not paclitaxel, for 32 months
10 with positive results, showing no evidence of the
11 original tumor under my arm and a reduction in my
12 lung tumors. I am progression free, PFS, but not
13 cancer free.

14 My oncologist chose these drugs, knowing
15 that others, including the carboplatins showed
16 greater toxicity, would not be as effective or
17 appropriate for my triple negative cancer.

18 Why do I believe Avastin is the key? The
19 trial data clearly showed that Avastin in
20 conjunction with either Xeloda or paclitaxel showed
21 greater PFS than either alone. Although new drugs
22 are being researched, if they even get to market,

1 they won't be available in time for me to be able
2 to use them.

3 All drugs have side effects, and I've
4 experienced two of the most prevalent and the most
5 readily controlled, hypertension and proteinuria.
6 With medication, I have no sign of hypertension,
7 except right now --

8 [Laughter.]

9 MS. HOWARD: -- and my frequent urine
10 samples sometimes require an adjustment in my cycle
11 of Avastin. The key is constant monitoring in
12 consultation with my doctor.

13 The day may come when my body rejects these
14 drugs, and I'll accept that. But I can't accept
15 others rejecting it for me. Advocates for
16 withdrawal have a simple choice. Don't prescribe
17 it, don't use it, but don't take it away from me.

18 Data from the Avastin trials do indicate
19 PFS, impacting life and quality of life.
20 Curiously, others reviewing the same data,
21 including the European counterpart, determined the
22 benefit versus risk was sufficient for approval.

1 What endpoint then is sufficient for your approval?
2 Months, years? Despite potential side effects from
3 Avastin, metastatic breast cancer has only one,
4 death. Certainly, Avastin can do no worse.

5 Alarmingly, even though Avastin remains
6 available for other cancers with the same side
7 effects if approval is withdrawn, insurers will
8 stop paying. We can't afford it. While urging
9 your continued approval, I also urge Roche to
10 continue to find ways to make it available and cost
11 effective.

12 I want every available weapon in my arsenal
13 as I fight this devastating disease. As I face a
14 frightening and uncertain future, I think of the
15 moving poem by Dylan Thomas. I will not go gentle
16 into that good night. I will rage against the
17 dying of the light. And with your help, it will
18 keep burning.

19 [Applause.]

20 DR. MIDTHUN: Thank you.

21 Will the next speaker, Diana Zuckerman,
22 please come to the microphone?

1 [No response.]

2 DR. MIDTHUN: Perhaps we'll go on to the
3 next speaker then. If she comes a little later,
4 she can speak them.

5 The next speaker is Patricia LoRusso.

6 [No response.]

7 DR. MIDTHUN: Perhaps if she comes later,
8 she can speak.

9 The next speaker is Betsy Swersky.

10 MS. SWERSKY: When I started my breast
11 cancer journey, I never imagined myself speaking
12 before a room full of people. I'm nervous, but
13 just as no one gave me a choice regarding breast
14 cancer, I have no choice but to speak now. My name
15 is Betsy Swersky, and I'm 51. I'm married to my
16 high school sweetheart, and we have three children.

17 When you are diagnosed with cancer, your
18 world stops while the rest of the world continues
19 to turn without you. Our world came to a halt in
20 December of 2005. There was no family history of
21 cancer, yet I had an aggressive cell type known as
22 triple negative. I underwent a double mastectomy,

1 a lymph node dissection, chemo, radiation and
2 reconstruction. Finally, after a nightmare year,
3 my hair grew in, I resumed exercising, and I went
4 about my life.

5 But then a PET scan in October of 2008
6 showed my cancer was back in the opposite side
7 lymph nodes. I had more surgery, more radiation
8 and more chemo; this time with Avastin. Avastin,
9 the drug that left me with no major side effects,
10 the drug that brought me clean PET scans, scans
11 with no evidence of disease, the path to stable
12 blood counts and a normal life. It's been almost
13 three years, and I am doing well and considered
14 free of disease. This is remarkable with a history
15 of recurrent triple negative breast cancer.
16 Avastin is contributing to keeping the cancer at
17 bay and letting me live normally.

18 I am pleading with you today to keep my
19 miracle drug Avastin available for all breast
20 cancer patients. At the very least, I implore you
21 to keep Avastin available for those of us who are
22 already seeing its benefits. Please require

1 insurance coverage for Avastin that is already in
2 use. Also, if my oncologist ever decides to try a
3 different treatment, I need to keep the option open
4 to return to Avastin. Please include a provision
5 for the resumption of coverage in such cases.

6 I have responded beautifully to Avastin.
7 Each person, each tumor is different, and we should
8 all have the same access to medications. Your
9 doctor should have the ability to choose the best
10 drug for you. Significant numbers of women are
11 super responders to Avastin. Until science
12 advances to the point of being able to predict who
13 will respond like this, doctors need the option of
14 trying this drug in patients who might benefit.

15 Why is Avastin working for me and other
16 super responders? I can't answer that, but it is
17 working. I am here to enjoy my life, to watch my
18 daughter Alyssa (ph) get her master's in teaching,
19 to watch my daughter Heather enjoy her years in
20 college, to see my son Adam pitch for his varsity
21 baseball team, to be a partner to my husband, to
22 travel, spend time with our families and live. The

1 passing months are turning into years.

2 The decision to utilize Avastin should be
3 one that my doctor and I discuss privately. I want
4 you to see that I am a real person in need for
5 continued course of Avastin. Thank you so much for
6 the opportunity to address you today.

7 [Applause.]

8 DR. MIDTHUN: Thank you.

9 Will the next speaker, Elizabeth Cleary,
10 please come to the podium?

11 MS. CLEARY: Good morning. I'm here as
12 another reminder that Avastin does indeed work for
13 some metastatic breast cancer patients. But I
14 think the most important thing for you to note is
15 that I am actually here.

16 I'm a triple negative metastatic breast
17 cancer patient who underwent 40 rounds of
18 chemotherapy. My disease was stable on a regimen
19 of carboplatin and Taxotere over a two-plus-year
20 period. Avastin was added in July of '06, and with
21 just over two months of Avastin treatment in
22 September of '06, scans showed no evidence of

1 disease. I have treated with Avastin since that
2 time with most recent scans showing no evidence of
3 disease.

4 I'm a working registered nurse, and as such,
5 I am fully aware of the risks involved in Avastin
6 use as well as those treatments I have previously
7 received. I have given my informed consent to be
8 treated with Avastin. I do sometimes worry about
9 the side effects and the long-term damages that can
10 be done, but the bottom line is my cardiologist
11 assists in my medical management along with my
12 oncologist by understanding, monitoring and
13 treating for the drug's effects. My oncologist and
14 I are both fairly certain I wouldn't be here right
15 now if it weren't for Avastin. I would be dead.

16 So for now, I live with hypertension. It
17 requires two prescription medications to manage.
18 In the past when I was on chemotherapy, I used a
19 combination of eight prescription medications,
20 eight over-the-counter medications, multiple
21 noninterfering supplements and several topical
22 treatments just to tolerate the side effects of

1 chemotherapy. That difference alone applies
2 directly to my ability to live fully as I have been
3 able to do for the past four and one-half years.

4 Regardless of the indication, breast, colon,
5 brain or lung cancer, Avastin does hold the same
6 risk for all users. That being said, continued
7 research is of the utmost importance for all
8 indications, but future investigations need to be
9 allowed. We're talking about metastatic disease,
10 not growing eyelashes or having a more satisfying
11 sex life.

12 They say there's power in knowledge, but
13 knowledge loses its punch without wisdom. My life,
14 the lives of my family, and women with metastatic
15 breast cancer depend and hang our hopes on anything
16 that can help us to live and live as fully
17 functioning as the treatment of any metastatic
18 disease will allow. My choice is Avastin;
19 chemotherapy hurts.

20 I remember 1991, my 26-year-old cousin lie
21 dying of metastatic breast cancer in a major
22 medical center. She begged her parents and mine to

1 do something to save her young life. I ask that
2 you not take Avastin away from those of us with
3 metastatic disease because first you must do no
4 harm. Thank you.

5 [Applause.]

6 DR. MIDTHUN: Thank you.

7 Would the next speaker, Robert Berger,
8 please come to the microphone?

9 DR. BERGER: Good morning. I'm Dr. Robert
10 Berger, a gynecologic oncologist, professor and
11 director of the Women's Cancer Center at Foxchase
12 Cancer Center in Philadelphia. I have served as a
13 principal investigator for Phase 2 and Phase 3
14 trials of antiangiogenic therapy in patients with
15 ovarian cancer.

16 I am here today on behalf of the Ovarian
17 Cancer National Alliance, a patient advocacy group
18 representing women and men whose lives have been
19 touched by ovarian cancer. I serve on the
20 scientific and medical advisory board of the
21 alliance.

22 By way of disclosure, the alliance works

1 closely with Genentech and Roche, the manufacturers
2 of Avastin, and with other pharmaceutical
3 companies, but although the alliance has received
4 funding from the company in the past, its working
5 relationships in no way influence our position.

6 I think all of us would agree that clinical
7 trials are intended to be pure scientific
8 experiments which must have valid endpoints.
9 Progression-free survival or PFS is often the most
10 objective and, hence, most valid endpoint in a
11 clinical trial. For example, this is true for
12 frontline Phase 3 trials of metastatic cancers
13 where multiple active regimens have been
14 demonstrated and where relatively long post-
15 progression-free survival times have been noted.

16 Considering the multitude of therapies
17 stacked up through a disease history, it is
18 becoming more and more difficult to demonstrate
19 that any one active therapy can dramatically
20 improve overall survival in a statistically
21 significant sense. With numerous therapies
22 available in metastatic or recurrent cancers,

1 isolating a single regimen or agent as the sole
2 variable responsible for improvement in overall
3 survival is difficult if not impossible.

4 We are in a new age in oncology where
5 multiple active regimens exist for diseases like
6 metastatic breast and ovarian cancer. For example,
7 the NCCN has listed single agent Avastin as a
8 preferred regimen, among others, in the management
9 of recurrent ovarian cancer. However, without
10 agency approval, the ability to prescribe the agent
11 is limited, highly variable and discriminatory.

12 The alliance is here today because clinical
13 trial data for ovarian cancer are not dissimilar to
14 those with metastatic breast cancer. Three Phase 3
15 clinical trials, two of them placebo-controlled,
16 have demonstrated significant prolongation of PFS
17 with the incorporation of Avastin in the primary
18 and secondary treatment of advanced ovarian cancer
19 and related malignancies. In some cases, these
20 trials have shown trends for prolongation in
21 overall survival.

22 In addition, a consensus statement by the

1 GCIG, a global consortium of cooperative groups,
2 lists PFS as the preferred primary endpoint in
3 frontline ovarian cancer Phase 3 trials, including
4 those involving a maintenance component.

5 We feel if the agency upholds its decision
6 to disapprove the use of Avastin in the frontline
7 treatment of metastatic breast cancer, this could
8 have a negative impact for women with advanced or
9 recurrent ovarian cancer, and we urge you not to
10 limit access to this clinically important agent.
11 Thank you.

12 [Applause.]

13 DR. MIDTHUN: Thank you.

14 Would the next speaker, Stanley Waintraub,
15 please come to the microphone?

16 DR. WAINTRUAB: Thank you for letting me
17 speak in favor of the clinical efficacy of Avastin
18 in metastatic breast cancer, based on my extensive
19 use of Avastin since '05 at the John Theurer Cancer
20 Center, the fifth largest cancer center in America,
21 where I'm chief of breast cancer, and chief of
22 hematology, and above all, I'm Elizabeth's doctor.

1 I hope my words will help you to decide not to
2 withdraw Avastin from its breast cancer indication.

3 Deborah, 49 years old, diagnosed with early
4 breast cancer in '05, got CMF, tamoxifen, relapsed
5 with metastatic liver disease in '08, treated with
6 Taxol, Avastin, complete total response, remission.

7 Elizabeth, whom you just met, 51-year-old
8 nurse, triple negative breast cancer in '02, got
9 AC, in '04 relapsed with tissue proven lung
10 metastatic disease, got Taxotere carbo, had
11 absolutely no response. In '06, she got Avastin
12 with the chemotherapy and paid out of pocket.
13 She's had a complete response and is on Avastin
14 alone in a complete remission since 2006 despite
15 being triple negative.

16 Nancy, 38, metastatic breast cancer, bone,
17 liver, treated with hormonal therapy and Taxol,
18 Avastin, complete total response on chemotherapy
19 and Avastin, is off that, is only on Femara. She
20 is five years after diagnosis. I just danced at
21 her son's bar mitzvah.

22 Stacy, 39 years old, metastatic triple

1 negative breast cancer, tremendous pain, liver
2 disease, bone disease, Taxol, Avastin. Her PET
3 scan is now normal.

4 I believe that Avastin coming off the market
5 would be devastating to my breast cancer patients,
6 especially the triple negative group. This is
7 especially true as the PARP inhibitors do not work
8 in first-line triple negative patients, leaving
9 them with toxic chemotherapy without biologic
10 synergistic agents to fight their dreadful
11 diseases.

12 You know the efficacy of first-line Avastin
13 from the three randomized trials. You know
14 progression-free survivor is longer. You know the
15 objective response rates are higher, and yet you
16 the FDA have approved other drugs with only
17 progression-free survival without overall survival.
18 You approved Ixempra. You approved Tykerb. Why
19 can't you leave Avastin on the market?

20 Indeed, Taxol Avastin is approved in Europe
21 based on E2100. Why should a woman in Europe or 86
22 other countries be permitted to get Avastin in

1 metastatic breast cancer but not in the United
2 States? I believe the lives of thousands of women
3 will be compromised, they would actually die, if
4 you withdraw Avastin from the market.

5 Aside from the easily controllable
6 hypertension and occasional nosebleeds, the
7 patients on Avastin have tolerated extremely well.
8 Look at Elizabeth. Does she look sick?

9 Certainly, there's nothing different from my
10 breast cancer patient than a colon cancer, lung
11 cancer, brain cancer or kidney cancer patient where
12 you have given full unconditional approval. There
13 is nothing different in my patients' safety profile
14 than the other cancers.

15 On behalf of my thousands of oncologists who
16 treat breast cancer and their wonderful, caring
17 patients and their loving, caring families, I
18 humbly beg you to allow Avastin to remain on the
19 market and not take it off and remain approved for
20 breast cancer. Thank you.

21 [Applause.]

22 DR. MIDTHUN: Thank you.

1 Would the next speaker please come to the
2 podium, Joseph --

3 DR. SPARANO: Good morning. My name is
4 Dr. Joseph Sparano, associate chair of the Eastern
5 Cooperative Oncology Group and chair of the ECOG
6 breast committee that led the pivotal E2100 trial
7 that formed independently largely in the U.S. by
8 the NCI-sponsored intergroup. And that was
9 published in the New England Journal of Medicine.

10 After granting accelerated approval for
11 Avastin in 2008 based on E2100 and concluding the
12 results were robust and clinical benefit
13 meaningful, the agency has reversed its decision
14 after review of data from AVADO and RIBBON 1.
15 Despite the agency's declared position and without
16 additional data from E2100, the agency now states
17 however that the clinical benefit in the E2100
18 trial was an outlier and that the risks of Avastin
19 outweigh its benefits.

20 The alternative explanation is that Avastin
21 is effective when used with weekly paclitaxel and
22 less effective when used with other agents, as

1 accepted by European regulatory authorities. ECOG
2 specifically chose the weekly paclitaxel regimen
3 based on preclinical synergy and the desire to
4 continue therapy until progression to maximize
5 treatment benefit.

6 Regarding the risk-benefit ratio in E2100,
7 survival was significantly improved by 8 percent at
8 one year, a fact corroborated in a combined
9 analysis, including AVADO and RIBBON 1. This
10 consistent early survival benefit when Avastin is
11 actually given, combined with comparable adverse
12 event in identical treatment associated mortality
13 rates, provides irrefutable evidence of a favorable
14 risk-benefit ratio.

15 The agency has also now stated that the
16 results of E2100 are questionable and less
17 methodologically rigorous than the other trials and
18 cited several specific deficiencies. There is also
19 no basis whatsoever for these concerns. Regarding
20 data quality, the rates of missing, censored and
21 discrepant data for the independent review was
22 similar in the two arms and level of agreement

1 similar to other trials used to support approval of
2 other agents.

3 Regarding potential for random high bias,
4 expert statisticians have concluded that early
5 stopping for efficacy introduces negligible bias,
6 specifically when at least 50 percent of events
7 have occurred, as was the case in E2100. Regarding
8 the open label design, a meta-analysis of 27
9 studies found excellent agreement in both blinded
10 and open label studies.

11 ECOG and FDA are federally-funded
12 organizations that are key stakeholders in the
13 enterprise approving that new treatments produce
14 clinical benefit. We applaud the agency for its
15 decision to grant accelerated approval in 2008
16 which has resulted in Avastin becoming widely used
17 and embraced by both cancer specialists and the
18 patients we serve.

19 There is absolutely no reason to doubt that
20 wise decision now because of AVADO and RIBBON 1.
21 These studies, in fact, did confirm a biological
22 effect of Avastin but also tell us its clinical

1 benefit is optimized by using it in combination
2 with weekly paclitaxel as in E2100.

3 The important question in 2011 is not
4 whether the results of E2100 were true, but rather
5 who benefits from Avastin and does continuing
6 Avastin beyond disease progression provide
7 additional clinical benefit. Thank you for your
8 attention.

9 [Applause.]

10 DR. MIDTHUN: Thank you.

11 Would the next speaker, Shannon Morgan,
12 please come to the microphone?

13 MS. MORGAN: Hi, I'm Shannon Morgan from
14 Charlotte, North Carolina. My husband Pat and my
15 oncologist Dr. John Powderly are here with me. I
16 was first diagnosed in 1999 with Stage 2 breast
17 cancer. I had a radical mastectomy, chemo,
18 radiation and hormonal therapy. I also had
19 reconstructive surgery. In 2001, it reoccurred,
20 and again, treatments were repeated.

21 I relapsed a little over three years ago
22 with 4-stage metastasized breast cancer in the

1 abdomen. I was given 12 to 24 months to live with
2 only Femara as treatment. I was told chemo nor any
3 other drug was an option. I had just been given a
4 death sentence.

5 That wasn't good enough for me and my
6 husband, so we researched and found a caring, well-
7 known and respected oncologist, Dr. John Powderly
8 of BioOncology Institute. He treated me with a
9 triple chemo cocktail and Avastin. After my last
10 round of chemo, I have continued to be treated with
11 Avastin as maintenance.

12 I am a super responder. I have virtually no
13 side effects. I have a runny nose, and the protein
14 in my urine rises about twice a year. I drink more
15 water, and it drops. He continues to monitor my
16 cancer and feels like Avastin is the proper
17 treatment for me. My recent bone and body scan
18 show that I remain to be in remission almost three
19 years later because of Avastin. Avastin alone is
20 working for me as well as thousands of other women.
21 Avastin alone is keeping our fourth stage breast
22 cancer in remission.

1 I feel my treatment should be decided
2 between me and Dr. Powderly, not by a panel who
3 does not see me on a regular basis and does not
4 know my medical history.

5 I work full-time, and up until their recent
6 deaths, I took care of my elderly parents. Avastin
7 has actually given me my life back. It's given my
8 strength, dignity and a positive outlook for life.
9 It's even given me times when I don't think about
10 having cancer.

11 My insurance company, like most, will
12 probably deny my claims because this drug will not
13 be approved by the FDA. You may be rich, but I am
14 not. If you take Avastin off the label for 4-stage
15 breast cancer, when are you going to take the other
16 cancers off? The side effects appear to be worse
17 for those.

18 With 4-stage breast cancer, everyone dies,
19 but we hope and we wait for new drugs. Avastin is
20 the only drug that works to block the blood supply.
21 If you take Avastin off label, even to do more
22 research, how many of us successful users do you

1 think will die during that time? Do you want that
2 on your conscience? If you take Avastin off label,
3 you will be taking my hope and giving me another
4 death sentence.

5 Please, please, somehow, someday keep
6 Avastin on label for metastasized breast cancer.
7 Avastin is my miracle drug. Thank you.

8 [Applause.]

9 DR. MIDTHUN: Thank you.

10 Would John Powderly please come to the
11 microphone?

12 DR. POWDERLY: Good morning. My name is
13 Dr. John Powderly. I'm a board certified medical
14 oncologist in Charlotte, North Carolina.

15 I'm attending at the request of Shannon, one
16 of my patients, and her husband Pat who will speak
17 after me. I'm here as an oncologist and as an
18 investigator for the RIBBON trial and on multiple
19 other antiangiogenesis trials. I'm in full-time
20 private practice, five days a week. I have treated
21 hundreds of breast cancer patients over the past
22 10 years.

1 As an oncologist, I have seen multiple
2 Avastin super responders whose initial response to
3 chemotherapy has remained durable, much longer than
4 otherwise would be expected. I am convinced that
5 patients like Shannon who are clearly benefitting
6 from Avastin would have progressed sooner if
7 Avastin was stopped.

8 Terminal cancer patients are considered
9 vulnerable, and their effective cancer drugs are a
10 huge, unmet medical need. So any, any magnitude of
11 progression-free survival, whether it's from one
12 month or 5.5 months as in the E2100 trial, are
13 significant and should be considered valuable and
14 meaningful clinical benefit. These patients want
15 small but modest increments, if they're available
16 and if it improves their quality of life because
17 they have few alternatives other than more
18 chemotherapy and more radiation.

19 CDER had commented that Avastin, quote,
20 "just shrinks radiographic tumors" and had no,
21 quote, "clinical evidence of benefit." It is
22 self-evident that in the practice of oncology

1 medicine, tumor shrinkage, which was seen in the
2 E2100 and other trials, is directly correlated with
3 a decrease in tumor pain. Although tumor pain may
4 have not been captured adequately on quality of
5 life or adverse event scale forms on case report
6 forms, that still does not negate the oncologic
7 principle that response rate and progression-free
8 survival equate to less tumor pain controlled over
9 a longer duration.

10 Oncologists are well versed in managing
11 Avastin and its side effects. We use it for the
12 other FDA on label indications of colon, lung,
13 renal and brain tumors, and it's been used off
14 label per NCCN guidelines for ovarian and melanoma.

15 CDER argues that the drug is too toxic, but
16 oncologists are well aware it potentiates
17 chemotherapy and has unique vascular complications.
18 We minimize the risk of these complications by
19 controlling the dose, decreasing the dose where
20 appropriate in chemotherapy, or even rolling
21 Avastin with second or third cycles so it's safer.
22 We manage the hypertension, and we manage the

1 proteinuria. So I feel like the decision to use
2 Avastin should be left up to the patient and the
3 oncologist.

4 My last comment is on the biology of cancer.
5 Traditional pharmacologic definitions can't even
6 describe tumor drug resistance when a drug like
7 Avastin works upstream of the tumor. So I would
8 like to propose that the next study performed by
9 Genentech looks at Avastin being used in
10 progression and further progression into second-
11 and third-line settings so that it may ultimately
12 have the opportunity to show overall survival.
13 Thank you.

14 [Applause.]

15 DR. MIDTHUN: Thank you.

16 Would Patrick Morgan please come to the
17 microphone?

18 MR. MORGAN: I'm Pat Morgan, Shannon's
19 husband, the Avastin super responder. There are no
20 practicing breast cancer oncologists on this panel.
21 Karen Midthun of the FDA said she did not want
22 breast cancer oncologists on this panel, and this

1 is a breast cancer specific hearing.

2 However, it is too early to draw conclusions
3 about Avastin as you're still awaiting the women on
4 trials to die from breast cancer. This panel
5 reviewed only responsive data, not survival rate.
6 Avastin has proven it works for 4 stage breast
7 cancer patients without the side effects. It's
8 perplexing to think that you might let women die
9 while you collect to see how many women die. Leave
10 Avastin available for those it benefits and don't
11 prescribe it for those it don't. That just makes
12 sense.

13 What the panel was to judge is whether or
14 not there is a clinically meaningful difference in
15 a patient's quality of life between the patients
16 who received Avastin and those who didn't. The
17 committee found any such difference impossible to
18 ascertain since the trials had not collected
19 patient-reported quality of the data.

20 The FDA's oncologists are -- and please pay
21 attention -- Richard Pazdur and his panelists'
22 minions is upset with the accelerated approval

1 process. But that is not a reason to jeopardize
2 the lives of 18,000 women on Avastin that may have
3 been borne of accelerated approval, but the
4 accelerated approval works. The panel should think
5 of it as a victory. He is hung up on the word
6 "accelerated." Whether the drug has benefits or
7 not is irrelevant to him.

8 Accelerated approval was created by Congress
9 in 1997 because it had become obvious of the FDA's
10 bureaucratic delays regarding drug approvals and
11 the thousands of people were dying waiting on the
12 FDA to approve life-saving drugs. In 2003, he
13 unilaterally decided to raise the bar for
14 accelerated approval of cancer drugs to the same
15 height as the standards for full approval. It must
16 first meet his decelerated approval initially
17 first, and this is the primary reason progress
18 against cancer drugs reached in the clinics is
19 stalled.

20 Support for his status is waning in the face
21 of emerging science that makes continued use of his
22 archaic approaches ineffective, unscientific and

1 simply wrong. Ironically, Mr. Pazdur does not
2 review HIV/AIDS drugs so many thousands will stay
3 alive as a direct result.

4 The FDA's Janet Woodcock is open to finding
5 out who benefits from Avastin and making it
6 available to them. The FDA Erica Jefferson (ph)
7 states in her release that she is open to working
8 with Genentech on proposals. Jeanne Ireland of the
9 FDA said oncologists should use their better
10 medical judgment when deciding what treatment is
11 best for their patients.

12 Doctors should always be first-line
13 attendants, not prejudiced panels. And Herbert
14 Hurwitz of Duke University noted that since Avastin
15 came to the United States in 2004, doctors have
16 learned how to better select patients for Avastin
17 use.

18 I will never understand the witch hunt
19 against Avastin for others. Giving us three
20 minutes is a disgrace that diminishes the
21 credibility of this FDA and shows disrespect to the
22 breast cancer victims. I want to finish by saying

1 Shannon's mom and dad --

2 [Time runs out.]

3 [Applause.]

4 DR. MIDTHUN: Thank you.

5 Would Beth Baugham DuPree please come to the
6 microphone?

7 DR. BAUGHAM DUPREE: Good morning. I'm
8 Dr. Beth DuPree. I'm a breast cancer surgeon, and
9 I chose to come here today as an advocate and a
10 representative of breastcancer.org.

11 We're asking you to consider the treatment
12 needs and expectations as well as the preferences
13 of women diagnosed with metastatic breast cancer.
14 In contrast to most women with early stage breast
15 cancer, most women with metastatic disease need
16 continuous treatment to stay alive. Despite the
17 desperation many people often feel with metastatic
18 disease, they have a remarkable ability to remain
19 able with clarity and precision to decide what
20 treatment options are best for them.

21 No two women or their cancers or their
22 treatment histories are the same. Aggregate

1 clinical trial results include individuals who
2 often respond better than the average and those
3 with other disappointing responses. We physicians
4 are limited in our ability to figure out who will
5 and won't get the most important benefit of any
6 particular treatment whether in the first-line
7 setting or in patients who have metastatic disease.

8 Women with metastatic disease are prepared
9 to make these decisions, and they're willing to
10 take greater risks and understand that other
11 treatments have already failed them. They deserve
12 the choice.

13 As a nonprofit organization dedicated to
14 providing the most reliable, complete and up-to-
15 date information about breast health,
16 breastcancer.org is committed to help everyone
17 affected by breast cancer, including their family
18 members and caregivers, to make very much sense out
19 of complex medical information. Hundreds of
20 thousands of women diagnosed with metastatic breast
21 cancer have turned to breastcancer.org to
22 understand their available treatment options as

1 well as the results of clinical trials that may
2 influence their choices.

3 Through the 10 years that we've been
4 understanding and communicating with women with
5 metastatic disease, we've learned that,
6 unfortunately, current treatment options for
7 metastatic cancer very often offer modest benefits
8 and the cures are only there for a few. What does
9 make sense treatment-wise is for any specific woman
10 to understand the characteristics of her disease,
11 look at the scientific evidence, and also have her
12 weigh her expectations as far as her treatment
13 options.

14 A woman's individual experience with a
15 treatment may be different than the aggregate
16 results from a clinical trial. Women want and need
17 access to the widest array of beneficial, safe
18 treatments.

19 Progression-free survival is a meaningful
20 benefit. When the FDA tries to pull a medication,
21 you're going to create a standard that insurance
22 companies will follow. As a voice for women

1 diagnosed with metastatic breast cancer,
2 breastcancer.org asks you to consider the
3 importance of a woman's access to her treatment
4 options. We also ask that you consider the
5 importance of an individual woman's preferences,
6 her perception and treatment benefits.

7 Please allow Avastin to be a treatment
8 decision made by an informed patient and her
9 physician, not a decision made here. Thank you.

10 [Applause.]

11 DR. MIDTHUN: Thank you.

12 Would the next speaker, Bob Erwin, please
13 come to the microphone?

14 MR. ERWIN: I'm Bob Erwin with the Marti
15 Nelson Cancer Foundation. The FDA's objectivity
16 and high standards are vital to individual patients
17 and essential to public health. Anyone following
18 cancer drug development for long has seen the
19 retrospective data dredges that promoters of shoddy
20 science periodically try to sneak past the FDA,
21 often followed by diatribes on the editorial pages
22 of The Wall Street Journal when hype fails to

1 overcome good scientific review.

2 The dedicated professionals of the FDA,
3 including Dr. Pazdur, are often the only
4 significant barrier to toxic placebos in our
5 pharmacies and 21st century snake oil salesmen
6 promoting false hope to desperate patients and
7 families.

8 However, this is not the situation that
9 faces us in the case of Avastin today, nor is
10 today's challenge a matter of choosing between
11 evidence-based medicine and emotional anecdotes.
12 Collectively, we have many years of experience with
13 the side effects of Avastin, and nothing new is
14 likely to be revealed today or tomorrow. We also
15 know that Avastin, like many other cancer drugs,
16 does not work for most women with breast cancer,
17 but that it does work well for a fortunate
18 minority.

19 Additional clinical trials of Avastin in
20 combination with a taxane or any other
21 chemotherapeutic agent are not likely to provide
22 meaningful new insights on the drug's effect on

1 overall survival or progression-free survival. We
2 still may not know in advance for whom Avastin will
3 work and for whom it will fail.

4 This is the critical question to which we
5 have no answer. For whom will Avastin work?
6 Additional questions include, why have Genentech
7 and the FDA discussed a biomarker-guided clinical
8 trial of Avastin for months but enrollment has not
9 begun? Why has Congress not appropriated enough
10 money for the FDA to expand its scientific staff
11 and infrastructure to efficiently analyze and
12 regulate drug biomarker combinations? The lawyers
13 really need to have a role in the drug development
14 and approval process. And, of course, why is
15 consideration of the relationship between price and
16 product performance off limits to the FDA? So many
17 elephants and so little time.

18 Considering all the available evidence, our
19 recommendation is to continue the approval of the
20 breast cancer indication under the accelerated
21 approval mechanism, subject, however, to serious
22 carrot-and-stick incentives to get the necessary

1 biomarker studies enrolled, finished and reviewed
2 to enable better informed decisions about the
3 ultimate fate of Avastin in breast cancer.

4 In addition, we believe preservation of the
5 accelerated approval mechanism itself is of vital
6 importance to cancer patients. We would like the
7 FDA to use enhanced carrot-and-stick tools to make
8 the mechanism even more valuable to patients and to
9 clarify objective metrics of clinical performance,
10 other than overall survival sufficient for
11 accelerated approval, if necessary, on a disease
12 and stage specific basis. Thank you.

13 [Applause.]

14 DR. MIDTHUN: Thank you.

15 Would Heraleen Broome please come to the
16 microphone?

17 MS. BROOME: Good morning. My name is
18 Heraleen Broome. I'm a very grateful recipient of
19 the drug Avastin. In October 2000, I was diagnosed
20 with Stage 1 breast cancer and underwent
21 lumpectomy, chemotherapy and radiation. Even
22 though I had triple negative cancer, I had a very

1 positive attitude because it was Stage 1 and the
2 cure rates are very high. The odds were in my
3 favor.

4 In January 2003, I was informed that the
5 cancer had returned in my breast. A planned
6 mastectomy was canceled when it was discovered that
7 the cancer had metastasized to my lungs. There
8 were several tumors in my lungs, and I immediately
9 began chemotherapy to try to shrink them. I was
10 told that I was treatable, not curable. My
11 oncologist, Dr. Rugo, tried two different types of
12 chemotherapy, but the tumors were growing, not
13 shrinking.

14 In July 2003, I entered a clinical trial at
15 UCSF Medical Center under Dr. Rugo's supervision.
16 The drugs were OSI 774/bevacizumab. This means I
17 have infusion of Avastin every three weeks and take
18 a Tarceva pill daily, and results were both
19 positive and immediate. Within a few days, all of
20 my tumors were shrinking and many had disappeared.
21 That was almost eight years ago. Last week, I
22 completed my 136th cycle of this treatment.

1 My hope is that Avastin can remain an
2 available treatment for people with Stage 4 breast
3 cancer. I've met so many that have been hopeful to
4 learn that there is life after chemo fails you,
5 this life made possible by Avastin in my case.

6 I simply don't understand how the FDA can
7 propose to find that Avastin does not provide
8 meaningful results of meaningfully prolonged life.
9 I owe the last seven and a half years to Avastin.
10 Those years have seen my grandchildren grow up and
11 they have taken me on wonderful trips to Europe and
12 Asia, places I would never have gone without the
13 freedom that I got from Avastin. I've helped a lot
14 of people with cancer by encouraging them not to
15 give up but to be positive even when they get bad
16 news.

17 There's no safety problem with Avastin, so
18 there's no reason to pull it off the market. I
19 urge you to do everything you can to ensure Avastin
20 continues to be available to breast cancer
21 patients. I don't think it is reasonable for you
22 to set a number of people that need to be alive as

1 a result of this drug in order to allow it to be
2 sold. It seems to me that my life should be
3 enough, and it's not just my life but the lives of
4 my family, friends, coworkers and everyone I meet
5 that are affected positively by this drug.

6 Please do the right thing and do not
7 withdraw approval of Avastin. I met a gentleman
8 who was very discouraged because his cancer wasn't
9 improving any, and they were going to put him on
10 this drug. He said, "Avastin." And I said, "That
11 drug that you said, 'Avastin,' pep up when you say
12 it because I've been receiving that drug
13 intravenously every three weeks since July of
14 2003."

15 This was in 2008. He told me -- I said, "I
16 don't know why I'm still here." He said, "You're
17 still here because I was supposed to see you
18 today."

19 Thank you.

20 [Applause.]

21 DR. MIDTHUN: Thank you.

22 Would Erin Ehrlich please come to the

1 microphone?

2 MS. EHRLICH: Good morning, panel. My name
3 is Erin Ehrlich with the Colon Cancer Alliance, the
4 nation's leading colorectal cancer patient advocacy
5 organization. As you know, colorectal cancer is
6 one of the deadliest and most expensive diseases to
7 at the time. CRC is the second leading cause of
8 cancer deaths in the United States, but early
9 detection and treatment can yield a 90 percent
10 survival rate.

11 Sadly, most are diagnosed with CRC at later
12 stages, when the disease is very difficult to at
13 the time. There are only a few treatment options
14 for later stage patients, and most are not very
15 effective. The average life expectancy of the
16 metastatic colon cancer patient is under one year,
17 and the 5-year survival rate is less than 10
18 percent.

19 While we understand the Avastin decision
20 relates to breast cancer and does not directly
21 affect CRC, we are concerned about the FDA
22 processes in place and what we perceive as a lack

1 of consistent standards. Breast cancer patients
2 are living years longer today than they were only a
3 decade ago, mostly because of the availability of
4 many new drugs, each of which may extend life by
5 only a few months or reduce the risk of recurrence.
6 We are worried that this new FDA decision-making
7 process will affect the future of drug approvals,
8 and decisions like the Avastin one will stifle
9 innovation.

10 Our concerns are shared by many observers
11 and experts, who fear that the U.S. has a faltering
12 system for approving and regulating drugs and
13 devices in this country. This summer, the
14 Institute of Medicine is expected to release a
15 report recommending significant changes at the FDA.
16 Like so many others, we are hopeful that IOM can
17 persuade the agency to change its practices. The
18 status quo is not acceptable. If nothing is done
19 to address the problems, it is the patients who
20 will feel its failure most.

21 According to a report released recently by
22 Northwestern University, U.S. companies are

1 increasingly going to Europe before the U.S. to get
2 approval for new medicines and devices. Companies
3 think the European new product review system is a
4 more straightforward, transparent, faster, and less
5 expensive one.

6 In a 2010 survey of medical device
7 manufacturers, a Stanford University professor
8 reported that products were available to patients
9 in the U.S. a full two years after they were
10 available to European patients, leading him to
11 conclude that millions of Americans do not have
12 access to the latest, most innovative medical
13 technologies.

14 We must fix the regulatory process here at
15 home so that Americans have access to the latest
16 innovations that are safe, effective, and
17 affordable. If we don't fix the problem, people
18 who should not die will, the cost of healthcare
19 will continue to rise, and innovative American
20 companies and their jobs will disappear, only to
21 reappear across the Atlantic.

22 We are concerned the FDA's Avastin decision

1 takes away the doctor-patient decision-making
2 process. Metastatic patients often have few, if
3 any, treatment options, and it should be the
4 decision of those patients and their doctors about
5 what side effects and risks they choose to assume.

6 As the voice for the 1.2 million colorectal
7 cancer survivors in the United States, we ask the
8 FDA to consider the importance of a cancer
9 patient's access to drugs which help extend life or
10 which reduce the risk of cancer recurrence as well
11 as the individual preferences of the patient and
12 their physicians. Thank you.

13 [Applause.]

14 DR. MIDTHUN: Thank you.

15 Would Carrie Konosky please come to the
16 microphone?

17 MS. KONOSKY: Good morning. My name is
18 Carrie Konosky, and I'm the vice president of
19 development and public affairs for the Kidney
20 Cancer Association. I am humbled to be here today
21 to speak on behalf of the Kidney Cancer Association
22 and the more than 75,000 patients and families that

1 we serve to support the breast cancer community.

2 While today's hearing is not directly
3 impacting kidney cancer patients, it is our
4 organization's fear that the precedent set by a
5 decision to withdraw approval for the breast cancer
6 indication could have serious implications for all
7 cancer patients in the future.

8 Our organization was founded in late 1989,
9 and at that time there was no available therapy for
10 kidney cancer patients. Our founder worked night
11 and day to advocate on behalf of the accelerated
12 approval process, which eventually led to the first
13 available therapy for kidney cancer patients. For
14 more than 10 years, this was the only hope that
15 kidney cancer patients had for surviving their
16 disease.

17 It is our organization's belief that it is
18 in poor judgment for Avastin to be withheld from
19 all patients because not everyone benefits equally.
20 As an ethical practice, private and public payers,
21 as well as Genentech, should continue covering
22 Avastin for those patients who are currently

1 responding to it. It is the understanding of the
2 KCA that the primary endpoint of PFS and E2100 was
3 agreed upon in advance by the FDA, and that
4 doubling of PFS for 12 months remains clinically
5 relevant. It also appears possible that the
6 toxicity of Avastin was overstated in the ODAC and
7 FDA releases.

8 Speaking on behalf of the desperately ill
9 cancer patient, it is my and the KCA's hope that
10 the FDA will consider this action. Thank you.

11 [Applause.]

12 DR. MIDTHUN: Thank you.

13 Would Helen Schiff please come to the
14 microphone?

15 MS. SCHIFF: My name is Helen Schiff, and
16 I'm speaking on behalf of SHARE leaders, a group of
17 cancer survivors who meet monthly to discuss and
18 debate controversial issues in breast cancer. We
19 are affiliated with SHARE, a breast and ovarian
20 support organization, as well as graduates from the
21 National Breast Cancer Coalition's advocacy
22 training program, Project LEAD.

1 When we started to discuss Avastin several
2 years ago, the overwhelming majority of SHARE
3 leaders supporting granting Avastin accelerated
4 approval status for first-line metastatic breast
5 cancer. Now it is just the opposite. The
6 overwhelming majority of us think that Avastin
7 should not remain on the market for this
8 indication, and here are the five reasons why.

9 One, progression-free survival is an
10 endpoint that benefits women with metastatic breast
11 cancer only if it predicts overall survival or
12 demonstrates improved quality of life. Avastin has
13 done neither. What use is there for a drug which,
14 in this population, does not extend life and has
15 more toxicities, some very serious, than the
16 present standard of care?

17 Two, it is absolutely essential that
18 biomarkers be developed before a drug comes to
19 market, not after. If Avastin does work for a
20 subset of women, we need to know who they are.
21 True compassion prevails only when drug companies
22 are motivated to identify the group of patients who

1 actually might benefit from their drugs, thus
2 sparing others who will not benefit the serious and
3 life-threatening toxicities.

4 Three, it is one thing to give access to a
5 promising drug. However, if that drug does not
6 fulfill that promise, it exposes more than more
7 patients to unnecessary harm and needs to be
8 removed from the market immediately.

9 Four, it starts us down a slippery slope, at
10 the bottom of which there is no drug regulatory
11 approval at all, putting a drug on the market
12 before you know if it works and for whom.

13 Five, we do feel, however, that the FDA
14 should follow the same policy it did with the lung
15 cancer drug Iressa. This would allow women already
16 responding to Avastin-containing regimes to stay on
17 them.

18 Like everyone else, we wanted Avastin to
19 succeed in metastatic breast cancer, but we are
20 honest enough to admit that it is not. We have
21 seen success before with targeted drugs like
22 Herceptin and tamoxifen that have saved or extended

1 lives of hundreds of thousands of women. We will
2 not settle for less.

3 While I have a few seconds, just in my own
4 name I would like to say that for every woman here
5 testifying, there are other women who we know -- a
6 member of our group who bled out of every orifice
7 of her body, Jimke Vassu; another woman,
8 Sandra -- I can't remember her last name -- in
9 Florida who had a brain hemorrhage. So those
10 people don't come to testify. I just want you to
11 remember that they exist, too.

12 DR. MIDTHUN: Thank you.

13 Would the next speaker, Ivy Ahmed, please
14 come to the microphone?

15 MS. AHMED: Good morning, and thank you for
16 the opportunity to make a brief statement today on
17 behalf of the Cancer Support Community, which
18 serves hundreds of thousands of cancer patients and
19 their loved ones across the United States. My name
20 is Ivy Ahmed, and I'm the vice president of
21 education and outreach for the organization. The
22 Cancer Support Community did not receive any

1 compensation from Genentech to be here today;
2 however, the organization does receive grant
3 funding from the company.

4 We're here today on behalf of the cancer
5 patients and families we serve every day to share
6 our unique perspective on a matter that has far-
7 reaching implications, not only for the future of
8 cancer care but also for the future of all
9 healthcare. The issues in front of the FDA today
10 are larger than one product, larger than one
11 indication, and larger than one treatment option
12 for metastatic breast cancer patients.

13 While the FDA is considering a series of
14 specific questions today on one product, the weight
15 of the agency's decision will have ramifications
16 far beyond this single product or any one
17 indication. We're concerned that the FDA could be
18 setting a precedent today that may have lasting
19 implication for years to come on the cancer
20 community at large.

21 We fully respect the agency's authority and
22 the challenge of balancing safety with speed and

1 innovation. We also appreciate the tremendous work
2 that the agency invests in conducting risk-benefit
3 analyses on a multitude of products and their side
4 effects. However, we must ask the question, at
5 what point should decisions surrounding risk and
6 benefit sit with the FDA, and at what point should
7 those decisions be left to a patient and his or her
8 doctor? At what point does the FDA have a
9 responsibility to educate and empower patients with
10 the facts and then leave the decisions to them with
11 their eyes wide open?

12 We strongly believe that the FDA should lead
13 a broader public discussion at this time, not about
14 whether a specific drug has met specific endpoints,
15 but about whether those endpoints are even the
16 right ones in the first place.

17 In addition to these practical issues and
18 implications and the objective analysis of the
19 data, we urge the FDA to take in account the
20 emotional consequences of leaving women with
21 metastatic breast cancer even fewer treatment
22 options than they have today. There is no question

1 that in doing so, the FDA would not just be
2 withdrawing a treatment option for those women, but
3 also removing hope at a time when hope of the next
4 treatment option may be the bridge to important
5 life events such as weddings, births, and
6 graduations.

7 We must also consider the impact of any
8 decision on innovation and further investment in
9 the development of novel therapies for cancer and
10 other illnesses. There must be a meaningful
11 partnership among government, the private sector,
12 doctors, and patients to ensure that medicines are
13 available to consumers quickly and safely, and that
14 they are both clinically effective and cost-
15 effective. In a rapidly changing clinical and
16 scientific environment, government must commit to
17 constantly revisiting and improving the regulatory
18 and approval process to benefit patients.

19 We urge the agency to actively engage
20 consumers, patients, providers, and industry in a
21 broader discussion about the changing face of
22 cancer care. We offer our organization, the Cancer

1 Support Community, to be part of those discussions.
2 It's clear to those of us who serve cancer patients
3 and their families every day that the regulatory
4 framework for approving therapies and stimulating
5 innovation would greatly benefit from a closer
6 examination of those who rely on it.

7 It is our belief that today is not the day
8 to be making a decision on this matter until
9 broader issues are addressed. Thank you.

10 [Applause.]

11 DR. MIDTHUN: Thank you.

12 Would Terrence Kalley please come to the
13 microphone?

14 MR. KALLEY: Presiding Officer Midthun,
15 distinguished members of ODAC, courageous patients,
16 families, friends, ladies and gentlemen, and above
17 all, my beloved wife, Arlene, knowing that death
18 will come early from incurable disease is
19 devastating. The FDA has compounded this anguish
20 by its complete indifference to current Avastin
21 patients. The FDA has treated these women as
22 expendable, innocent statistics in the face of

1 a regulatory machine on autopilot, a bureaucracy
2 unencumbered by any ethical controls. Your callous
3 indifference is terrifying patients. Their anxiety
4 is excruciating, your prolonged silence deafening.

5 The FDA purports to base its actions on
6 science in defiance of evidence and common sense.
7 The highly unscientific and unethical handling of
8 this entire Avastin saga cries out for
9 congressional oversight and major FDA overhaul.

10 Let's turn to super responders, those
11 responding well to Avastin. Despite strong
12 empirical and observed evidence, the FDA
13 contemptuously ignores these women, dismissively
14 calling them "anecdotal evidence." The FDA
15 unscientifically only considers medians from its
16 trials. However, the FDA approach misleadingly
17 omits the details behind the medians.

18 Those details are vital, changing the
19 picture. Individual patients respond differently
20 to treatments. Medians hide this. The super
21 responders fall greatly above the median. The
22 European Medicines Agency has approved Avastin.

1 The practicing breast cancer oncologists of the
2 NCCN approve Avastin. Can you say with certainty
3 that all these medical experts and patients are
4 wrong? If there is any doubt that Avastin is
5 keeping some of these women alive, how can you in
6 good conscience vote against Avastin?

7 As many issues regarding Avastin remain
8 unresolved, requiring further research, justice and
9 common sense dictate that you not sentence these
10 women to premature deaths by depriving them of
11 their life-saving Avastin. It should not be for
12 you, but for my wife and her oncologist, to make
13 this life-and-death decision. Please just leave my
14 dear wife Arlene alone to continue taking her
15 medication without any interruption of existing
16 insurance. Is this asking too much?

17 Make no mistake. This hearing is a death
18 trial, not of Avastin, but of these women who rely
19 on Avastin to stay alive. You are each personally
20 responsible for the consequences of your own vote.
21 If you vote against Avastin, do not count on
22 insurance companies and Medicare to provide

1 coverage for Avastin. A vote against Avastin by
2 each of you is a vote against thousands of women.

3 It has fallen to organizations such as my
4 own, Freedom of Access to Medicines, staffed and
5 funded solely by patients, their families, and
6 friends, to fight for these Avastin women, to file
7 the Freedom of Information Act request with the
8 FDA. We organized the protest outside the FDA
9 despite the FDA's attempts yesterday to silence us.

10 When, heaven forbid, just one patient is
11 denied this drug, Avastin will become a household
12 word in America. As America watches you and the
13 FDA, reversing your prior "No" votes on Avastin
14 will take humility, wisdom, great courage. Let not
15 history show that you --

16 [Time runs out.]

17 [Applause.]

18 DR. MIDTHUN: Thank you.

19 Would Christine Brunswick please come to the
20 microphone?

21 MS. BRUNSWICK: My name is Christine
22 Brunswick. I'm vice president of the National

1 Breast Cancer Coalition. I was diagnosed with
2 breast cancer 20 years ago and am currently living
3 with metastatic disease. I can personally attest
4 to how devastating this disease is.

5 NBCC, along with thousands of advocates, is
6 dedicated to Breast Cancer Deadline 2020 to refocus
7 research on preventing breast cancer and preventing
8 metastasis by January 2020. We look to the FDA to
9 help achieve that goal. I'm here on behalf of NBCC
10 to support FDA's decision to remove breast cancer
11 as an indication for the drug Avastin.

12 Avastin has been shown to be unsafe and
13 ineffective for breast cancer patients. The FDA's
14 decision on Avastin must be based on scientific
15 evidence from well-done trials and cannot be based
16 on any one individual story, no matter how
17 compelling. This decision cannot be driven by
18 anecdotes. It must be driven by science. This
19 decision must be made for the greater good and on a
20 public health basis.

21 The addition of Avastin failed to
22 demonstrate a significant improvement in overall

1 survival. This may not be what many of us wanted
2 to hear, but we must accept and act on evidence or
3 we will never make the needed progress we so
4 desperately want.

5 In 2008, NBCC expressed concern about the
6 accelerated approval of Avastin. We now know that
7 women died because of this drug. We know the
8 follow-up studies confirmed that the drug is not
9 effective for breast cancer patients and that it
10 increases serious adverse effects.

11 Women deserve access to treatments that
12 scientific evidence proves are effective. Avastin
13 does not meet that standard. We fully understand
14 how painful it is that we do not yet know how to
15 cure metastatic disease, but we need to focus on
16 doing more good than harm. The FDA's decision to
17 withdraw the indication supports that approach.

18 This decision must be about one investment
19 only, the investment in saving lives. What we are
20 currently engaged in propels us backwards, spending
21 enormous resources defending a drug that does not
22 live up to its promises. It does not significantly

1 keep the disease at bay, it surely is not a cure,
2 and it does not extend life. The drug does raise
3 false expectations and does detract from focusing
4 on other research that may produce effective, life-
5 saving drugs.

6 Should we be defending and promoting a drug
7 that fails patients in every way? Should we spend
8 time and lives on drugs like Avastin that do
9 nothing to save women from the devastation of
10 breast cancer? We really do deserve more.

11 The data show that Avastin should no longer
12 be used in the treatment of this disease, and the
13 FDA's decision to rescind should stand. Thank you.

14 DR. MIDTHUN: Thank you.

15 Will the next speaker, Kimberley Jewett,
16 please come to the microphone?

17 MS. JEWETT: I am completely disgusted to
18 have to follow somebody like that. She apparently
19 has not listened to the many women who are standing
20 here today and have benefited from Avastin.

21 [Applause.]

22 MS. JEWETT: My name is Kimberly Jewett, and

1 I am a breast cancer survivor. Three years ago, at
2 the age of 31, I was diagnosed with breast cancer.
3 At that time, I can remember feeling overwhelmed by
4 the treatment process and was mostly concerned for
5 my then-6-year-old daughter and 4-year-old son.

6 At that time, the most difficult struggle I
7 faced was the effects my diagnosis had on my two
8 young children. My daughter always wondered if I
9 was going to die, the daughter that sat at her
10 bedside on her knees praying to God that her mommy
11 had the strength to fight breast cancer, a child
12 who wanted her mommy to help her grow and develop
13 into the young woman she would someday be.

14 These types of moments were one of the many
15 emotional and physical emotions I endured while
16 undergoing my treatment process. But I must point
17 out that those emotions were tied with choices,
18 choices that came from recommendations, and
19 recommendations that my medical team provided me
20 with. And with those recommendations came choices.

21 I made the choice with my medical team to
22 have a mastectomy. I made the choice to have

1 reconstruction and chemotherapy. And all of my
2 choices led me to where I am today, just like the
3 many women who have made the choice, with their
4 doctors, to take Avastin.

5 When we look at the many side effects that
6 surgeries have, steroids, chemotherapy, and even
7 basic aspirin, I feel Avastin is no different. It
8 is a drug that has side effects, and to me it is a
9 drug that is a choice, just like many other options
10 a cancer makes during the cancer diagnosis.

11 I have met many women who have breast
12 cancer, young women that do not have the
13 opportunity to have children, and unfortunately,
14 the women that are metastatic. And what I have
15 learned from my diagnosis is, I am fortunate to be
16 standing here in front of all of you today.

17 I am a survivor and an advocate for the
18 women who do not have a voice to tell you they are
19 here because of their choice to take Avastin, the
20 many women who are not here, sadly enough, who had
21 that choice to take Avastin and had one more day
22 with their families. And I can tell you that

1 today, if I became metastatic, I, too, would want
2 that choice to take Avastin to have one more day
3 with my children.

4 I sincerely hope that my personal breast
5 cancer battle will serve as a voice for the many
6 people who have choices and would do anything they
7 can to continue to have that choice to have one
8 more day with their families.

9 [Applause.]

10 DR. MIDTHUN: Thank you.

11 Would Vernal Branch now come to the
12 microphone?

13 MS. BRANCH: Hello. My name is Vernal
14 Branch, and I represent the Virginia Breast Cancer
15 Foundation and Breast Cancer Action. We carry the
16 voices of people affected by breast cancer to
17 inspire, compel the changes necessary to end the
18 epidemic. We represent members nationwide who
19 believe that patients should come before profits.

20 Metastatic breast cancer is a heart-
21 wrenching diagnosis, and we believe we need more
22 effective and less toxic treatments. Like many

1 others who care about people affected by breast
2 cancer, we would have been pleased if data
3 presented showed Avastin to be a more effective
4 treatment for metastatic breast cancer than other
5 drugs already on the market.

6 Unfortunately, the existing evidence from
7 randomized controlled trials conducted by the
8 drug's manufacturer has demonstrated that Avastin
9 has not lived up to the initial hype. Trials
10 completed demonstrated some improvement in
11 progression-free survival. We remain convinced
12 that it is not enough to justify FDA approval for
13 treating metastatic breast cancer. Furthermore,
14 subsequent trials failed to show the same
15 progression-free survival in the original study.

16 The goal is to obtain statistically reliable
17 evaluation of a drug that represents a clinically
18 meaningful result that yields favorable
19 benefit-risk evaluation. Trials involving Avastin
20 have simply not yielded those type of benefit-risk
21 ratios.

22 Avastin does not meet several criteria. The

1 major problem with Avastin is that it has not shown
2 increased overall survival for patients that took
3 the drug. Overall survival was not used as the
4 endpoint in the studies, which means that we have
5 no data on whether patients live longer overall
6 when taking the drug. Overall survival is the most
7 beneficial measure for patients, however.

8 Quality of life is very subjective, but some
9 of these diminished capacities for quality of life
10 includes gastrointestinal perforation, splitting of
11 wounds and organs, internal bleeding, high blood
12 pressure, congestive heart failure, heart attack,
13 and stroke.

14 We understand that Genentech is planning on
15 additional trials. While we are not opposed to new
16 trials, we do believe Avastin should not retain its
17 approval while further study is conducted. If
18 there is new evidence, Genentech should follow the
19 existing process.

20 FDA must require that pharmaceutical
21 companies sell more than hope to patients. We
22 recommend that FDA stay the course with its

1 December decision to revoke accelerated approval of
2 Avastin until new evidence is produced that shows
3 the improvement of overall survival. Thank you.

4 DR. MIDTHUN: Thank you.

5 Would the next speaker, Christi Turnage,
6 please come to the microphone?

7 MS. TURNAGE: Good morning. My name is
8 Christi Turnage. I am a wife, a mother of four, an
9 advanced practice nurse, and a breast cancer
10 advocate who is living with metastatic triple
11 negative breast cancer. I am speaking today on
12 behalf of my family and the more than 11,000 people
13 who have signed the petition that I started online
14 to keep Avastin, most of those being patients.

15 I was diagnosed originally in June of 2006
16 with Stage 2 breast cancer, and by 2008 it had
17 spread to my lungs. My daughter was only 3 years
18 old at that time. After four chemos and Avastin
19 treatments, I had no evidence of disease, and after
20 seven months, I was on Avastin alone.

21 Talk about an increased quality of life. I
22 had hair again, which made my life for my children

1 so much easier. They didn't think I was sick any
2 more. It was very distressing to a toddler for a
3 mom to look that poorly. I have been on Avastin
4 for three years this month and have had 32 months
5 of no progression. That is priceless.

6 I have many friends who have experienced
7 this and more, many more years, ladies with six,
8 seven, eight years. And while I realize this may
9 not be the norm of what was found in the trials,
10 these cases exist. We exist.

11 There has been a discussion about whether
12 the improvement in progression-free survival is
13 clinically meaningful for patients. As a patient
14 living with this disease, I would say most
15 definitely it is. I believe that the definition of
16 a clinical benefit is a personal question that each
17 patient needs to answer with their doctor. Every
18 day of no disease progression amounts to a day of
19 living, a day to love on my children, a day to
20 maybe see them grow up, to see a wedding, a
21 graduation, a kindergarten; starting kindergarten I
22 was able to see.

1 Some experts say the gold standard is
2 overall survival, but this is a controversial
3 subject, even among oncologists. It was found that
4 only 1 in 5 breast cancer patients showed overall
5 an survival, and overall survival is seldom used as
6 the primary endpoint. Why is Avastin being held to
7 a higher standard than others?

8 As far as toxicities and side effects, I
9 have a sore throat and I'm really tired. I raise
10 four children and I work as a nurse. And I know
11 other people have serious side effects, but that's
12 only 4 percent. Less than 1 percent of people die
13 from this drug, and only 4 percent have the serious
14 side effects. And, no, I wouldn't want one of
15 those side effects, but if I don't have this drug,
16 I know that I will have death. I mean, that's
17 obvious. So that's a no-brainer for me to take
18 this drug when I look at my options.

19 I'm just going to skip on and just show you
20 a few of my younger friends that have benefited
21 from Avastin. These are ladies that are in their
22 30s. Erin had stayed on Avastin for two years; it

1 was able to give her enough time to get on the
2 trial. She did great. And then Jen is 34 years
3 old, and as she says, "Avastin may not add to her
4 overall life expectancy, but it allowed her to have
5 progression-free" --

6 [Time runs out.]

7 [Applause.]

8 MS. TURNAGE: Here's the petition.

9 DR. MIDTHUN: Thank you.

10 Would Elda Railey please come to the
11 microphone?

12 MS. RAILEY: Good morning. I am Elda
13 Railey, co-founder of the Research Advocacy
14 Network, an organization founded to advance
15 patient-focused research.

16 Respecting the patient perspective in the
17 research dialogue is essential to improving patient
18 care, and I appreciate the opportunity to share
19 thoughts with you today as we all work together to
20 do just that, improve patient care.

21 We are encouraged by the FDA's efforts to
22 set standards for agents approved with progression-

1 free survival as an endpoint. Standards and
2 consistent processes for agents approved with
3 accelerated approval are also needed.

4 What is the level of benefit and toxicity
5 that must be met for accelerated approval? Are the
6 criteria different for targeted therapies than for
7 chemotherapy? Is the level of benefit and toxicity
8 used in primary care cancer versus metastatic
9 cancer considered consistently? Many metastatic
10 patients are willing to deal with greater
11 toxicities than those in earlier treatment stages.

12 Recently the Research Advocacy Network
13 presented a poster at the ASCO annual meeting that
14 discussed our findings about risk-benefit tradeoffs
15 and decision-making around biomarkers in this
16 patient population.

17 There are many patients that have benefited
18 from Avastin, as you've heard today. Clinicians
19 also state that they have patients who have
20 benefited from taking Avastin. This level is
21 exceeding the median 5.5 months found in E2100, the
22 trial that merited accelerated approval.

1 Removing the metastatic breast cancer
2 indication will mean current and future breast
3 cancer patients who could benefit from this agent
4 will not have access without third party payer
5 reimbursement. Dr. Pazdur has even stated that he
6 wants to find out which patients benefit from
7 taking this agent. We will only find that out
8 through further research. And there is research
9 ongoing to find biomarkers for the benefit and
10 toxicity.

11 We applaud the FDA critical path for
12 initiating a funding program for novel biomarker
13 research and hope the proposals to address this
14 very issue of a companion diagnostic will be funded
15 by this program. But this funding program alone is
16 not enough and is not targeted specifically to this
17 issue.

18 Previously, we asked for a "state of the
19 science" meeting that would allow for researchers
20 to discuss and share what they've learned. The
21 outcome of this meeting would be strategy and a
22 timeline for answering this specific question.

1 The people most affected by this decision
2 are current and future breast cancer patients. In
3 addition, patients diagnosed and being treated with
4 Avastin for other cancers will also be affected by
5 this decision. It is incumbent upon the scientific
6 and regulatory communities to foster an atmosphere
7 of collaboration and scientific integrity and
8 inquiry to solve this issue for the benefit of
9 cancer patients. Thank you.

10 [Applause.]

11 DR. MIDTHUN: Thank you.

12 Would Tim Turnham please come to the
13 microphone?

14 MR. TURNHAM: My name is Tim Turnham, and
15 I'm the executive director of the Melanoma Research
16 Foundation. I admit I have little information
17 about the efficacy of Avastin in breast cancer and
18 offer no opinion regarding the level of clinical
19 benefit needed for approval. But I will say this.
20 My concern is has the approval process for this
21 drug been clear, consistent, and reasonable?

22 This past spring, for the first time in

1 13 years, the FDA approved a new drug for
2 metastatic melanoma. Despite this advancement,
3 melanoma patients desperately need additional and
4 better therapies. The basic science of melanoma is
5 understood to the point that these therapies are
6 easily envisioned, so now we must bring these
7 therapies quickly into the clinical setting. And a
8 critical part of accelerating drug development
9 hinges on the regulatory process, a process that
10 represents a growing percentage of the time and
11 expense of drug development.

12 The FDA has issued a white paper on
13 improving regulatory science, yet no improvement
14 will have significant impact unless the criteria
15 for approval are clear, consistent, and reasonable.

16 The FDA granted accelerated approval for
17 Avastin and made full approval contingent on
18 studies confirming safety and clinical benefit in
19 the form of progression-free survival. Genentech
20 met these criteria. The company then requested
21 full approval, with the reasonable expectation that
22 approval would be granted. Instead, the company

1 was informed that the previous approval would be
2 withdrawn.

3 Again, I have no opinion about the merits of
4 this drug in breast cancer. I do believe, however,
5 that for the FDA to set forth criteria for approval
6 and then change those criteria reflects an approach
7 that may have a chilling effect on drug
8 development. At the very least, industry may be
9 less willing to consider much-needed changes in
10 their approach to clinical trials.

11 We are seeing these days new discussions on
12 the ethics of clinical trial design, with a push
13 for crossover provisions, particularly when the
14 control arm offers little efficacy and the trial
15 arm is showing promise. Crossover, however, makes
16 demonstrating overall survival very difficult, and
17 makes progression-free survival more significant.
18 Withdrawal of approval of Avastin because the
19 studies failed to achieve a sufficient, yet
20 unspecified, level of PFS will put additional
21 pressure on industry to avoid crossover despite the
22 impact on patients in those trials.

1 Equally significant is the issue of
2 combination studies. Melanoma researchers agree
3 that true progress will likely be found in
4 combining drugs, and that the best combinations
5 include drugs that are not yet approved. But
6 industry is reluctant to engage in such trials,
7 citing regulatory challenges. If the current
8 system is perceived as being unclear or
9 inconsistent, how likely is industry to engage in a
10 regulatory path that is different or novel,
11 regardless of the potential benefit offered to
12 patients?

13 As you make your decision regarding Avastin,
14 I urge you to consider what that decision says
15 about a regulatory process that is essential to
16 ensuring that new and better treatments are
17 available to patients. Thank you.

18 [Applause.]

19 DR. MIDTHUN: Thank you.

20 Would Carolyn Law please come to the
21 microphone?

22 MS. LAW: I come this morning as a breast

1 cancer survivor, at least, a survivor so far.
2 Thankfully, I have never had to face metastatic
3 cancer, but I watched my mother and a good friend
4 die from it. Their lives revolved around doctor
5 visits, and there was never any good news.

6 Everyone I know who has had Stage 4 any kind
7 of cancer has died, and usually with a
8 significantly declining quality of life: sick, in
9 pain, weak, barely able to get off the sofa,
10 although statistically labeled "surviving."

11 I personally knew one recipient of Avastin
12 who, on her deathbed, was given it as a last resort
13 for a different type of cancer. There was a quick
14 and dramatic reversal of her condition. The
15 results were spectacular. Yes, she died anyway
16 about a year later, but in the meantime she had a
17 sports-filled life, virtually doctor-free, and a
18 vibrant quality of life.

19 My father was a physician. He told me there
20 is a big difference between prolonging life and
21 prolonging death. With my mother, I watched as her
22 death was prolonged. With the person who took

1 Avastin, I saw how wonderfully her life was
2 prolonged. The two were vastly different.

3 So if you knew you were to have one year of
4 life left, would you choose a steady decline of
5 being sic, weak, frail, generally unable to
6 participate, needing increased assistance, and
7 being a heart-wrenching sight for your family to
8 endure; or would you want to continue your
9 activities and outings and sports and doing the
10 things that bring you and your family pleasure, and
11 living a vibrant life?

12 Today we have heard articulate testimonies
13 from doctors and patients about the effectiveness
14 of treatment and quality of life provided by
15 Avastin. There is something to this drug. It
16 holds great promise. Yes, do more research. But
17 in the meantime, please keep this available to
18 women with metastatic breast cancer. Do not deny
19 them or withdraw their access to this drug. Do not
20 deny me this choice as I, too, might need that
21 option someday.

22 You hold people's lives in your hands.

1 Please do not take that responsibility lightly.

2 Thank you.

3 [Applause.]

4 DR. MIDTHUN: Thank you.

5 Would Karen Zinka please come to the
6 microphone?

7 MS. ZINKA: My name is Karen Zinka. I work
8 for Men's Health Network, but I am here today
9 speaking on behalf of Christy Larch. Christy Larch
10 is a 43-year-old metastatic breast cancer survivor
11 and mother of two in Washington State. She was
12 treated with Avastin and paclitaxel chemotherapy.
13 She's a full-time attorney serving victims of
14 domestic violence, and that is why she cannot be
15 here today, but she asked me to share her thoughts.

16 She requests that the committee recommend
17 that the FDA reverse its decision to withdraw
18 approval of Avastin's metastatic breast cancer
19 indication, specifically, Avastin's pairing with
20 paclitaxel. Genentech should be directed to
21 conduct further studies of metastatic breast cancer
22 patients who have direct experience with the

1 Avastin and paclitaxel combination to further
2 assess progression-free survival and clinical
3 benefit.

4 This is a very complex issue requiring
5 further research. There is substantial anecdotal
6 from metastatic breast cancer patients and
7 providers that indicates this combination results
8 in increased progression-free survival as well as
9 prolonged patient life. A study that includes data
10 from patients and their doctors with Avastin and
11 paclitaxel experience is appropriate.

12 Women living with metastatic breast cancer
13 constitute a significant and substantial
14 contingent. For these women, any treatment that
15 will increase the efficacy of their chemotherapy
16 regimen, impede tumor growth, and increase their
17 survival lives must be given further consideration.
18 Individuals living with metastatic breast cancer
19 need access to any treatment that may accomplish
20 these goals.

21 Women with metastatic breast cancer are
22 vital, productive members of our economy. Their

1 incomes and federal tax brackets range from the top
2 to the bottom of the schedule. They all contribute
3 to this country's economic vitality. Those
4 fortunate to have private insurance may pay for
5 both their insurance as well as annual deductibles
6 and co-pays, contributing further to the economy.
7 They fill necessary paid positions, they volunteer,
8 they raise children that may otherwise be dependent
9 upon public resources, and they pay their taxes.
10 At this time, the decision whether to use an
11 Avastin and paclitaxel combination is appropriately
12 determined by an individual and her medical
13 oncologist, and we would hope to keep it that way.

14 Christy is one of many women who have
15 successfully treated with Avastin and paclitaxel
16 combination. She appears to be proof of a clinical
17 benefit. She is certainly proof that side effects
18 and potential side effects are manageable. Her
19 medical oncologist took quite reasonable
20 precautions before and throughout her treatment to
21 ensure her Avastin use was safe and appropriate.
22 Her cancer was greatly reduced by the time she

1 ended her treatment, approximately six months after
2 it began.

3 Contrary to the very limited study results,
4 Avastin has become a very popular weapon in the
5 fight against breast cancer. There are women with
6 triple negative metastatic breast cancer who have
7 very few treatment options. For some of these
8 women, Avastin has been their means of true
9 survival since they learned of it.

10 Thanks to Avastin, Christy's children have
11 their mother, her friends and community have her
12 around, and she is able to continue to serve her
13 clients. Thank you.

14 [Applause.]

15 DR. MIDTHUN: Thank you.

16 Would Maureen Thomas please come to the
17 microphone?

18 MS. THOMAS: Good morning. My name is
19 Maureen Thomas, and I reside in Winston-Salem,
20 North Carolina, and I am a 5-year cancer survivor.

21 I come before you today as an advocate for
22 the use of Avastin to treat metastatic breast

1 cancer. Like most drugs, Avastin is said to cause
2 a variety of side effects. However, in my personal
3 journey of recurrent metastatic breast cancer with
4 lymph node involvement, I have experienced very
5 minimum side effects.

6 While using this drug, my PET scan has been
7 normal and the cancer has not spread to other parts
8 of my body. This drug has worked well for me for
9 the last three years, and I am very concerned about
10 patients like myself who are receiving this drug
11 now and may not be able to receive it any longer.

12 I humbly request your consideration in
13 approving Avastin for the continued use to treat
14 metastatic breast cancer. It is my belief that
15 these patients should not be denied this drug.
16 Even though it is costly and has many side effects,
17 we should be able to sign a waiver that states we
18 are aware of the side effects and are willing to
19 take the risk. I am living proof that Avastin does
20 work.

21 During my cancer battle for the last five
22 years, I have had the best care from Dr. Judy

1 Hopkins, Dr. Slatkoff, and Carol Holt, P.A., and
2 the nurses at Kernersville Oncology in North
3 Carolina. And I have had the support of my husband
4 of 25 years, my children, grandchildren, my other
5 family members, my pastor, Reverend Cliburn, and my
6 church family and friends. And I thank them all
7 for their continued support. I know, with Jesus
8 Christ, all things are possible.

9 I have a petition with over 900 signatures
10 requesting the FDA's approval of this drug. This
11 approval is very important to breast cancer
12 patients like myself so that we will continue to
13 have treatment with this drug to control cancer,
14 improve the quality of life, possibly extend life,
15 and provide hope.

16 I appeal to this committee to reexamine the
17 pros and cons of the study done on Avastin and
18 allow those of us who have not been adversely
19 impacted to continue to have access to this
20 medication.

21 Thank you for the opportunity and for what I
22 hope will be a favorable action by the committee.

1 Thank you.

2 [Applause.]

3 DR. MIDTHUN: Thank you.

4 Would Theresa Morrow please come to the
5 microphone?

6 MS. MORROW: Good morning. My name is
7 Theresa Morrow, and I'm speaking today on behalf of
8 Men's Health Network. We are a national nonprofit
9 advocacy organization whose mission is to reach men
10 and their families where they live, work, play, and
11 pray.

12 We urge the committee today to carefully
13 consider the well-being of women and their families
14 affected by metastatic breast cancer to ensure that
15 they have access and to ensure that they continue
16 to have access to a broad range of treatment
17 options. We believe Avastin should remain an
18 available option to women with metastatic breast
19 cancer.

20 This issue does not affect women in
21 isolation. It has serious implications for
22 husbands, loved ones, families, and communities.

1 Men and their families want the best care,
2 management, and support for their wives, mothers,
3 sisters, and friends. And it's important to
4 remember, of course, that men can get breast
5 cancer, too.

6 We strongly believe that treatment decisions
7 should be made between an individual and their
8 healthcare provider. That decision should be made
9 after a thoughtful discussion about benefits and
10 risks -- for the treatment or therapy.

11 Today you have heard from a number of women,
12 patients who are living life to the fullest thanks
13 to Avastin. We urge you to take these women into
14 consideration, along with the thousands of other
15 women who have succeeded on Avastin.

16 Men's Health Network seeks to support and
17 promote optimal care and access to effective
18 treatments. Even if Avastin is available for use
19 off-label, many will not be able to afford the drug
20 without coverage by insurance companies.

21 Where possible, we should also avoid action
22 that would stifle medical research and innovation

1 and limit access to life-saving therapies for
2 women, men, and their families. We stand here
3 concerned about the long-term implications of these
4 decisions 3, 5, and 10 years down the road.

5 As we move toward more personalized
6 treatments for patients, Avastin should remain
7 available to metastatic breast cancer patients. It
8 may allow a woman 2 months, 2 years, or 10 years
9 longer with her husband, children, and
10 grandchildren. Thank you.

11 [Applause.]

12 DR. MIDTHUN: Thank you.

13 Would Stephan Davis please come to the
14 microphone?

15 MR. DAVIS: Good morning. Thank you for the
16 opportunity to speak to you. My name is Steve
17 Davis. I'm not being paid by anyone to be here.

18 I'm here to represent my wife, who lost her
19 battle with breast cancer and passed away in April
20 after a 10-year battle, and participated for over
21 2 years in the Avastin arm of the RIBBON trial.
22 I'm here today to let you know in very simple terms

1 it is quite possible if she had not done this, her
2 life may have been cut shorter by those two years.

3 In her own words, after she had been on the
4 protocol for approximately six months, the scan
5 showed all spots resolved. Anne was a teacher, a
6 great mother to our children, and a wonderful wife
7 to me. What the RIBBON trial did was put the
8 cancer in remission for those two years and allow
9 her to keep living her life in the most normal way
10 possible.

11 One of her goals was to make our son's
12 wedding, and she did. I still had my wife, and we
13 kept making good memories. Side effects from the
14 treatment were managed and minimized, and I think
15 it's important not to forget that most every
16 protocol for cancer treatment has side effects.
17 Some are worse than others, but let's face it; it's
18 Stage 4 cancer. Anne was not about to give up, and
19 frankly, there were times during this illness she
20 became too sick and she was only given Avastin.
21 Anne spent much of her life helping people.

22 Isn't that why we're here today? When

1 you're given a diagnosis such as Stage 4 cancer, if
2 you choose to fight it, you will more than likely
3 at some point run out of options. And, see,
4 knowing Stage 4 cancer is almost always fatal, the
5 choice to participate in the trial was not a hard
6 one to make.

7 Anne was made aware of potential side
8 effects with every new treatment, but that did not
9 deter her determination to fight the disease with
10 everything she had. She did everything right. All
11 her mammograms on time, all her standard
12 treatments, and when she was put on the Avastin
13 trial, she had amazing results. She still died,
14 but her quality of life during the trial made it
15 all worthwhile, and we would not have changed a
16 thing.

17 Please ask yourself, if this happened to you
18 or anyone in your family or extended family, if the
19 choice to do this would be important to you. We
20 all so desperately want a cure, sometimes we equate
21 success with living longer. Perhaps we should look
22 at quality of life as a marker of success because

1 we don't have a cure.

2 Anne would have done this all over again. I
3 am asking you in her name to allow Avastin to
4 retain its accelerated approval status so it can
5 remain a choice for everyone with Stage 4 breast
6 cancer.

7 Thank you for your time.

8 [Applause.]

9 DR. MIDTHUN: Thank you.

10 If Diana Zuckerman has arrived, would she
11 like to come to the microphone, please?

12 DR. ZUCKERMAN: I'm Dr. Diana Zuckerman,
13 president of the National Research Center for Women
14 and Families and our Cancer Prevention and
15 Treatment Fund. I come here with the perspective
16 of someone who's a fellow at the University of
17 Pennsylvania Center for Bioethics. I also formerly
18 was trained in epidemiology at Yale Medical School,
19 was on the faculty at Yale and Vassar, and did
20 research at Harvard. And I'm also here as someone
21 who has lost dear friends to breast cancer.

22 When the FDA made a decision to approve

1 Avastin for Stage 4 breast cancer in an expedited
2 manner, that was a risk that they took. And they
3 took it in order to make it available on the hope
4 that it would be effective, with the understanding
5 that it would have to be proven effective in
6 survival and/or quality of life.

7 Unfortunately, that did not happen. And it
8 would have a terribly chilling effect, it seems to
9 me, on the FDA to make these kinds of risky
10 decisions if they then were not able to rescind
11 approval or ask for additional research in order to
12 extend approval. So for that reason, I'm here to
13 support the FDA's decision to rescind approval, but
14 also to talk about what we can do to help the women
15 who are already on Avastin and seem to be
16 benefiting from it.

17 The research shows that for every woman in
18 this room who seems to have had a wonderful
19 experience with Avastin as well as for survivors of
20 those women, there is at least one if not two women
21 who had a very different experience because the
22 research shows that Avastin does not improve

1 survival and does not improve quality of life.

2 So that means for every woman who had a 2-
3 year extension of her life, apparently, there's at
4 least one woman, and possibly two women, who died
5 sooner than they would have if they hadn't had it.
6 And for that reason, we need to be very careful
7 what we do.

8 But I do ask that Genentech continue
9 research, figure out who are the women who are
10 going to benefit so that when a woman has to make
11 this decision in the future, she'll have a good
12 chance of benefiting from Avastin, not being harmed
13 by it. And meantime, since the company has made a
14 lot of money on this drug through this expedited
15 approval, I ask that Genentech make the drug
16 available for free for the women who are on it so
17 that they can continue to be on it if it benefits
18 them.

19 Thank you very much.

20 DR. MIDTHUN: Thank you.

21 If Patricia LoRusso has arrived, would she
22 like to come to the microphone now?

1 [No response.]

2 DR. MIDTHUN: I don't think she arrived. I
3 want to now express my thanks to you and all the
4 other participants during this portion of the
5 hearing. Presentations by members of the general
6 public have now concluded, and there will not be
7 any additional presentations from the audience.

8 We will now take a 15-minute break, and this
9 hearing will resume in 15 minutes, which will be at
10 20 past 10:00. Thank you.

11 (Whereupon, a recess was taken.)

12 **Affirmative Presentation by CDER**

13 DR. MIDTHUN: All right. We are now moving
14 onto the next portion of the hearing, which will be
15 a presentation by the Center for Drug Evaluation
16 and Research. They will have a two-hour period in
17 which to make their presentations. They will also
18 have a light system. The light will go yellow when
19 there are five minutes remaining. And then when it
20 turns red, their allotted two hours are over. So
21 we will start with that now.

22 Dr. Pazdur?

1 DR. PAZDUR: Thank you. Good morning. I am
2 Dr. Richard Pazdur, and I am the director of the
3 Office of Oncology Drug Products in FDA's CDER, and
4 that is Center for Drug Evaluation and Research. I
5 have been with the FDA for 11 years. Prior to
6 joining FDA, I was on the faculty at the University
7 of Texas MD Anderson Cancer Center in Houston,
8 Texas for 11 years. I was a professor there and a
9 practicing oncologist.

10 I would like to personally thank all those
11 who share their views on today's subjects with the
12 agency. Few of us here today have not been touched
13 personally by cancer. We at CDER are aware of the
14 human toll caused by breast cancer. All of us
15 would like to see new, safe, and effective
16 treatment options for patients.

17 While we acknowledge the pain and suffering
18 caused by cancer, our job in making decisions about
19 drug approval is to focus on the available
20 scientific evidence. Our regulatory decisions are
21 based on data from adequate and well-controlled
22 clinical trials. They are not based on

1 consideration of the drug's cost or decisions by
2 third-party payers regarding reimbursement.

3 During our presentations, my colleagues and
4 I will explain why CDER has proposed to withdraw
5 approval of Avastin's indication for the treatment
6 of patients with metastatic breast cancer. We will
7 explain the scientific basis for our conclusion,
8 that, number one, Genentech's required confirmatory
9 trials failed to verify a clinical benefit of
10 Avastin in treating patients with metastatic breast
11 cancer, and two, the totality of the data submitted
12 to the FDA show Avastin is neither safe nor
13 effective to support the breast cancer indication.

14 Finally, we will explain why the law, the
15 science, and the public health policy all counsel
16 against permitting Avastin's breast cancer
17 indication to remain on the label while Genentech
18 designs and conducts additional studies.

19 Avastin was first approved by FDA in 2004
20 and is currently approved for a total of five
21 oncology indications. CDER's proposed withdrawal
22 of the indication for metastatic breast cancer has

1 no impact on the other four approved indications.
2 CDER is not proposing to remove Avastin from the
3 market.

4 Avastin's indication for breast cancer is
5 limited to use in combination with one specific
6 chemotherapy drug, paclitaxel, for the treatment of
7 HER2-negative metastatic breast cancer in patients
8 who have not previously received chemotherapy for
9 metastatic breast cancer.

10 While CDER and Genentech disagree about many
11 issues to be discussed today, one issue about which
12 there is no dispute is that Avastin has not been
13 demonstrated to improve overall survival in
14 patients with metastatic breast cancer in clinical
15 trials. Five clinical trials in breast cancer have
16 failed to demonstrate an overall survival benefit
17 when Avastin is added to various chemotherapy
18 regimens.

19 Further, the available data fail to
20 demonstrate that Avastin improves health-related
21 quality of life outcomes in patients with
22 metastatic breast cancer. If data submitted to the

1 agency demonstrated any of these benefits, an
2 improvement in overall survival, health-related
3 quality of life, or a substantial improvement in
4 progression-free survival, we would not be here
5 today.

6 We are aware that some patients and their
7 physicians believe Avastin has provided a benefit
8 to individual patients in the treatment of breast
9 cancer. For the indication we are discussing
10 today, Avastin is used in combination with
11 paclitaxel, a known effective chemotherapy agent.
12 When an individual is administered both drugs
13 together, it is not possible to ascribe any benefit
14 to Avastin alone. That is why the clinical trials
15 included a randomized comparison of the combination
16 treatment versus paclitaxel alone.

17 Now, I'd like to provide you some background
18 on how we determine a drug provides clinical
19 benefit in treating cancer and why we approved
20 Avastin's breast cancer indication originally.

21 When approving any drug, the agency must
22 conclude that the drug offers clinical benefit to

1 patients. Clinical benefit is a direct, tangible
2 benefit to patients. It generally means prolonging
3 patients' lives or improving the quality of lives.
4 In determining the net benefit of the drug, we must
5 assess the type and the magnitude of the benefit,
6 and weigh that information against any safety
7 concerns or risks.

8 Any improvement in overall survival is
9 generally considered the gold standard endpoint in
10 oncology trials. In fact, in 1999, ODAC
11 recommended that we require drugs to demonstrate an
12 improvement in overall survival for approval for
13 first-line metastatic breast cancer. Over the past
14 decade, oncologists and patients have asked the
15 agency to consider other endpoints in breast cancer
16 and other types of cancer. We have been willing to
17 do that, provided the data for the alternate
18 endpoint are clear and support a favorable benefit-
19 risk determination.

20 One alternative endpoint is progression-free
21 survival, or PFS. PFS generally refers to the time
22 from the start of treatment until disease

1 progression or death from any cause. PFS is
2 primarily determined by evaluating radiographic
3 scans of tumors to determine whether they are
4 growing.

5 In the trials under discussion today, the
6 primary endpoint was PFS. It is important to keep
7 in mind that although PFS includes the word
8 "survival," it does not mean that patient's life
9 will be extended. An improvement in PFS does not
10 necessarily correspond with a longer overall
11 survival, or an improved prognosis, or improved
12 quality of life. Therefore, in making risk-benefit
13 decisions for drugs whose benefit is defined by
14 PFS, we must carefully consider the magnitude of
15 the effect.

16 To illustrate this point, an improvement in
17 overall survival represents a clear direct benefit
18 to patients. They live longer. An improvement in
19 overall survival of a given magnitude has a clearer
20 meaning in a benefit-risk analysis than the same
21 magnitude of improvement in PFS. For this reason,
22 in guidances, in publications, and in ODAC

1 discussions, we have emphasized that the magnitude
2 of PFS improvement must be substantial to support
3 approval, and it must outweigh the risk associated
4 with the treatment. In addition, CDER has
5 consistently emphasized that a demonstration of
6 statistical significant improvement in PFS may not
7 translate to a favorable risk-benefit decision.

8 Another endpoint examined in oncology
9 trials, which you'll hear about today, is objective
10 response rate or ORR, defined as a proportion of
11 patients with tumor-sized reduction of a pre-
12 defined amount for a minimum time period. It
13 reflects activity in tumors, but like PFS, an
14 improvement in ORR may not, or may, correspond with
15 longer survival or other clinical benefit to
16 patients.

17 One more term you'll hear about today is
18 "hazard ratio." This is the same concept as
19 relative risk. It compares the risk of an event or
20 outcome in one group with a risk of that event or
21 outcome and another group. A hazard ratio of 1
22 would indicate that the risk in each group is

1 identical. In clinical trials, a hazard ratio less
2 than 1 generally indicates a favorable effect was
3 seen in the treatment arm.

4 As you will see from our later
5 presentations, data from one clinical trial, E2100,
6 suggested that adding Avastin to paclitaxel
7 improved progression-free survival by a median of
8 five and a half months with a hazard ratio of 0.48
9 without an accompanying improvement in overall
10 survival. However, another trial, AVF2119g, which
11 was performed in a second-line setting, failed to
12 show either an improvement in PFS or overall
13 survival when Avastin was added to chemotherapy.
14 In other words, one trial suggested a fairly large
15 improvement in PFS, but no overall survival, while
16 another showed no benefit at all.

17 We sought expert advice from ODAC in
18 December of 2007 in evaluating these data. The
19 members of ODAC carefully reviewed and vigorously
20 debated the interpretation of this data. In the
21 end, ODAC voted 5 to 4 against approval of Avastin
22 for this indication.

1 Given the promising but yet unconfirmed
2 benefit seen in E2100, CDER believed that
3 accelerated approval was an appropriate regulatory
4 option with a requirement for a post-approval study
5 to confirm the magnitude of PFS seen in E2100 or to
6 demonstrate some other direct clinical benefit to
7 patients. Genentech agreed to this path forward.

8 As part of the agreement of accelerated
9 approval, Genentech identified AVADO and RIBBON 1
10 as the trials that would provide the confirmatory
11 evidence to verify clinical benefit. This
12 verification could have been demonstrated as an
13 improvement in overall survival, an improvement in
14 health-related quality of life, or confirmation of
15 the magnitude of the PFS benefit observed in E2100.

16 We now have reviewed complete data from
17 AVADO and RIBBON 1, as well as an additional trial,
18 RIBBON 2, which you'll hear about in a later CDER
19 presentation. The totality of the data available
20 today paints a very different picture from the one
21 available to FDA at the time of the accelerated
22 approval of Avastin for breast cancer.

1 We now have five randomized trials of
2 Avastin added to chemotherapy in breast cancer
3 trials involving more than 3,500 patients. We have
4 carefully reviewed this data and conclude that no
5 trial demonstrated an improvement in overall
6 survival, no trial has demonstrated an improvement
7 in health-related quality of life, and no trial has
8 confirmed the magnitude of benefit in PFS observed
9 in E2100 that led us to the approval of the breast
10 cancer indication.

11 After very carefully considering all of the
12 available data, we've determined that the benefits
13 of Avastin for the treatment of patients with
14 metastatic breast cancer do not outweigh its
15 serious and potentially fatal risks. You will hear
16 more about the serious risks associated with
17 Avastin in our later presentation, but in short,
18 Avastin can cause very serious complications,
19 including intestinal perforation and hemorrhaging.

20 Approximately 1 percent of patients in
21 controlled trials of Avastin in breast cancer
22 appeared to have experienced Avastin treatment-

1 related mortality. And even more common risks that
2 were not fully tracked in the studies at issue can
3 lead to a detriment in the quality of life and can
4 limit options for later salvage therapy with other
5 agents.

6 In July 2010, we once again sought expert
7 advice from ODAC in reviewing and interpreting the
8 available data for Avastin in breast cancer.

9 Following a careful review and discussion of the
10 available data, the members of the committee
11 recommended nearly unanimously, 12 to 1, that the
12 indication for breast cancer be withdrawn.

13 Following the July 2010 ODAC meeting,
14 Genentech proposed to perform an additional
15 clinical trial in which patients would be
16 randomized to receive either paclitaxel or
17 paclitaxel plus Avastin. Genentech proposed that
18 this trial would confirm clinical benefit of
19 Avastin in breast cancer and that the breast cancer
20 indication should remain on Avastin's label while
21 this trial is designed and conducted.

22 Because the pre-selected confirmatory trials

1 have failed to verify clinical benefit, and the
2 available data do not support a favorable benefit-
3 risk balance for Avastin in breast cancer, it is
4 not appropriate to continue accelerated approval
5 while Genentech tries to conduct another trial to
6 establish clinical benefit.

7 It is likely that any new study will take
8 years to complete and the available data simply do
9 not suggest that a new study is any more likely to
10 show the magnitude of benefit observed in E2100.

11 The agency must show an appropriate degree of
12 flexibility in making new promising drugs available
13 to the American patients with serious and life-
14 threatening diseases as early as possible.

15 The accelerated approval regulations, which
16 you'll hear more about in a moment, provides us
17 that flexibility. But there is a tradeoff here.
18 Under accelerated approval, FDA can approve
19 promising new treatments under the condition that
20 post-approval studies must be conducted in a timely
21 manner to verify clinical benefit to patients. But
22 FDA may expeditiously withdraw approval if clinical

1 benefit is not confirmed, in the interest of public
2 health.

3 In 2011, the decision on Avastin in breast
4 cancer can no longer be based on the results of
5 E2100 alone. The decision must be based on the
6 totality of the evidence from all of controlled
7 clinical trials.

8 The totality of the evidence points very
9 clearly to the conclusion that Avastin has not been
10 shown to be safe or effective in treating breast
11 cancer. Accordingly, CDER has proposed to remove
12 the breast cancer indication from Avastin's label
13 in the interest of individual patients and the
14 public health.

15 I would now like to welcome Abigail Brandel
16 from the Office of Chief Counsel, who will discuss
17 the legal framework for accelerated approval.

18 MS. BRANDEL: Good morning. My name is Abby
19 Brandel. I'm a lawyer in FDA's Office of Chief
20 Counsel, representing CDER in this proceeding. The
21 purpose of my presentation is to provide the legal
22 context for today's hearing.

1 There are two statutes relevant to this
2 proceeding, the Public Health Service Act, or PHS
3 Act, and the federal Food, Drug, and Cosmetic Act,
4 or FDCA. Avastin is a biological product, and
5 therefore, was approved under the PHS Act. FDA
6 regulations are also relevant. They are located in
7 Title 21 of the Code of Federal Regulations.

8 There are two mechanisms for approval of a
9 biological product, regular and accelerated. The
10 accelerated approval pathway was created in the
11 early 1990s. It is reflected in the Food, Drug,
12 and Cosmetic Act, but many of the details of the
13 program are set out in FDA regulations.

14 With respect to biologics like Avastin,
15 those regulations are located in Subpart E of
16 Part 601. Regardless of whether approval is
17 regular or accelerated, the same substantive
18 standards for safety and effectiveness apply.
19 Under Section 351(a) of the PHS Act, a biologic
20 must be shown to be safe, pure, and potent in order
21 to be approved.

22 The concept of potency has long been

1 interpreted to include effectiveness. No biologic
2 is absolutely safe. There is always some risk.
3 FDA, therefore, decides whether a biologic is safe
4 by weighing the risks against the benefits. The
5 other key point to remember is that the risk-
6 benefit analysis of a product is not static. It
7 can change over time, based on the available data.

8 There are two limitations on what products
9 are eligible for accelerated approval. First, the
10 product must be used to treat a serious or life-
11 threatening illness like cancer. Second, the drug
12 must provide a meaningful therapeutic benefit
13 compared to other available therapies. People
14 often equate accelerated approval with reliance on
15 a surrogate endpoint, which is defined as an
16 endpoint that is reasonably likely to predict
17 clinical benefit. While that is one pathway to
18 accelerated approval, it is not the only one.

19 Avastin's accelerated approval for
20 metastatic breast cancer was based on the other
21 pathway, its effect on a clinical endpoint other
22 than survival or irreversible morbidity. Here, as

1 you've heard from Dr. Pazdur, the clinical endpoint
2 that was the basis for Avastin's metastatic breast
3 cancer approval was a radiographic measurement
4 called PFS.

5 Regardless of which pathway to accelerated
6 approval is used, approval is granted on the
7 condition that the applicant conduct additional
8 studies to verify the product's clinical benefit.
9 Dr. Pazdur explained how CDER scientists evaluate
10 whether a product provides a clinical benefit.

11 The design of these post-approval studies,
12 which are sometimes called confirmatory studies, is
13 proposed by the sponsor and agreed to by CDER. The
14 studies must be carried out with due diligence and
15 they must be "adequate and well-controlled."
16 Adequate and well-controlled is a term of art, but,
17 in essence, it means that CDER's risk-benefit
18 judgments must be based on data from rigorous
19 clinical trials, not anecdotal information or
20 unsubstantiated theories.

21 So I've taken you through the particulars of
22 what the statute and the regulations say about

1 accelerated approval. However, it is vital to keep
2 in mind the public health purpose of these
3 provisions.

4 The accelerated approval framework embodies
5 a delicate balance of two compelling and sometimes
6 competing public health interests. The first is
7 the public health interest in providing patients
8 with access to promising new therapies as soon as
9 possible. That's why, as I've described, the
10 accelerated approval program permits approval based
11 on data showing an effect on an endpoint other than
12 survival, or irreversible morbidity, or a surrogate
13 endpoint. The tradeoff for providing patients with
14 earlier access to drugs, however, was and is
15 uncertainty about whether a drug's clinical benefit
16 will be verified in the post-approval studies.

17 Under this framework, it is entirely
18 possible that, in some cases, clinical benefit will
19 not be verified. In that case, patients would be
20 exposed to a drug that does not provide a clinical
21 benefit or for which the risks outweigh the
22 benefits.

1 The second compelling public health interest
2 embodied in the accelerated approval program,
3 therefore, is to protect patients from such drugs.
4 That is why the statute and regulations include a
5 process for accelerated withdrawal as a safeguard
6 against the risk that patients are exposed to a
7 drug that does not provide a clinical benefit, or
8 for which the risks outweigh the benefits.

9 So although the shorthand for the regulatory
10 framework we'll be talking about today is
11 accelerated approval, an integral part of it is
12 accelerated withdrawal. It's a two-way street.
13 The balance of approval and withdrawal are needed
14 to make the program work, and thereby protect
15 patients and the public health.

16 As you can see on this slide, the
17 regulations authorize FDA to withdraw accelerated
18 approval if, among other things, a post-approval
19 study fails to verify the drug's clinical benefit,
20 or other evidence demonstrates that the drug is not
21 shown to be safe or effective. Either one is
22 grounds for withdrawal.

1 As will be explained by CDER scientists,
2 both of these criteria are met here. That means
3 that the legal standard for withdrawal has been
4 met. Thank you.

5 The next speaker will be Dr. Lee Pai-Scherf.

6 DR. PAI-SCHERF: Good morning. My name is
7 Lee Pai-Scherf. I'm a medical officer in the
8 Office of Oncology Drug Products, CDER, FDA. I
9 will present CDER's review of the Avastin
10 application for metastatic breast cancer.

11 This is CDER's Avastin review team. This
12 slide shows the outline of my presentation,
13 background information, accelerated approval of
14 Avastin for metastatic breast cancer, studies
15 AVF2119g and E2100, confirmatory studies AVADO and
16 RIBBON 1, additional study metastatic breast
17 cancer, RIBBON 2, summary, and conclusions.

18 Avastin has been in the United States market
19 since February 2004. This slide shows the current
20 approved indications for Avastin. Genentech's
21 initial trials supporting the accelerated approval
22 for Avastin for metastatic breast cancer consisted

1 of AVF2119g and E2100. 2119g was a randomized
2 open-label trial of capecitabine with or without
3 Avastin for second- and third-line metastatic
4 breast cancer. It was intended to support the
5 initial approval of Avastin. The study failed to
6 meet its primary endpoint of progression-free
7 survival.

8 The study enrolled 462 patients with
9 progressive metastatic breast cancer, previously
10 treated with anthracycline and taxane. Eligible
11 patients were randomized to receive capecitabine
12 alone or capecitabine with Avastin.

13 This slide shows the Kaplan-Meier
14 progression-free survival curves for AVF2119g.
15 There was no statistical improvement in PFS with
16 the addition of Avastin to capecitabine. Overall
17 survival was a secondary endpoint. There was no
18 improvement in overall survival with the addition
19 of Avastin to capecitabine.

20 In May 2002, Genentech identified E2100 as
21 an additional study intended to support drug
22 approval. The study was conducted by the Eastern

1 Cooperative Oncology Group and supported by the
2 National Cancer Institute. E2100 was an open-
3 label, randomized study of paclitaxel with or
4 without Avastin for patients with HER2-negative,
5 recurrent or metastatic breast cancer who had not
6 received prior chemotherapy for their metastatic
7 disease.

8 In May 2006, Genentech submitted an sBLA for
9 first-line metastatic breast cancer based on the
10 entering results of E2100. After review of the
11 submission, CDER issued a filing deficiency letter.
12 Key issues are listed on this slide. Subsequent to
13 the filing deficiency letter, Genentech conducted a
14 data clean-up and retrospectively collected
15 radiographic scans to perform an independent
16 blinded review of the progression events. In
17 August 2007, Genentech resubmitted the sBLA.

18 The primary endpoint of the study was PFS,
19 as determined by an independent radiographic
20 review. Results are shown in this table. The
21 addition of Avastin to paclitaxel resulted in a
22 statistically significant improvement in PFS, with

1 a hazard ratio of 0.48. That is a 52 percent
2 reduction in the risk of disease progression or
3 death, compared to paclitaxel alone. The median
4 PFS was 5.8 months for the paclitaxel arm and 11.3
5 months for the paclitaxel plus Avastin arm.

6 Overall survival was a secondary endpoint. There
7 was no significant difference in overall survival
8 between the two treatment arms as shown here.

9 Quality of life was assessed using the trial
10 outcome index from the FACT-B questionnaire. The
11 primary analysis was to compare the changes in TOI
12 score from baseline to week 17 for patients in each
13 arm. Genentech claims that the addition of Avastin
14 to paclitaxel treatment does not result in
15 additional detriment to a patient's quality of
16 life. CDER has concerns with this quality of life
17 data. The open-label designed has a high potential
18 for bias, there were significant missing data, and
19 we have concerns regarding the imputation methods
20 used in the analysis.

21 In summary, there is no data showing
22 improvement in quality of life in the E2100 study.

1 The review team had several issues with the
2 data submitted to support Avastin approval for
3 metastatic breast cancer. There was only one
4 single open-label study with positive data to
5 support approval. While the PFS result was felt to
6 be robust based on the sensitivity analysis
7 conducted for PFS and supported by the objective
8 response rate, there was no confidence on the
9 magnitude of the reported PFS.

10 Factors affecting confidence in magnitude of
11 PFS findings were, there were missing scans in
12 10 percent of the patients; 34 percent of the
13 patients were not followed until an independent
14 review determined a PFS event or end of study; lack
15 of reliability in the determination of radiographic
16 disease progression and the date of progression
17 between the independent radiologist and study
18 investigators; and there was no improvement in
19 overall survival.

20 In addition, there was incomplete assessment
21 of toxicity, 20 percent increase in grade 3 to 5
22 toxicities, with the addition of Avastin to

1 paclitaxel, and a 1.7 percent treatment-related
2 death in the Avastin plus paclitaxel arm. In
3 addition, the results of AVF2119g trial showed no
4 improvement in progression-free survival or overall
5 survival in the second- and third-line setting.

6 Results of E2100 were presented to ODAC in
7 December 2007. ODAC members were asked the
8 following question. Are the data provided
9 sufficient to establish a favorable risk-benefit
10 analysis for the use of bevacizumab plus paclitaxel
11 for first-line treatment of patients with
12 metastatic breast cancer. Five members voted no
13 and four yes.

14 Summarized here, the ODAC members had a
15 number of concerns, including concerns that E2100
16 study had shortcomings and inconsistencies, such as
17 data collection and imaging discordance, concerns
18 of the toxicity of Avastin and the fact that other
19 agents are available in this setting. The
20 committee was reaffirmed that if PFS is to be used,
21 the study must be powered for survival to ensure
22 that benefit outweighs the risks.

1 On February 2008, CDER granted accelerated
2 approval to Avastin. This was a difficult decision
3 for CDER. The magnitude of PFS effect suggested a
4 clinical benefit, but needed further verification.

5 I will now present the results of AVADO and
6 RIBBON 1. These two confirmatory studies were
7 identified and proposed by Genentech and agreed to
8 by CDER.

9 In November 2009, Genentech submitted AVADO
10 and RIBBON 1 results, seeking to discharge its
11 confirmatory study obligations and to expand the
12 Avastin label to add the indications shown in this
13 slide.

14 The AVADO study. The AVADO trial design is
15 shown on this slide. Patients with metastatic
16 breast cancer who had not received prior
17 chemotherapy for metastatic breast cancer,
18 HER2-negative, were randomized, 1 to 1 to 1, to
19 receive docetaxel with placebo, or Avastin at
20 7.5 milligrams per kilogram, or 15 milligrams per
21 kilogram.

22 Treatment continued until disease

1 progression or an acceptable toxicity. The
2 protocol had a past study phase, which allowed for
3 unblinded treatment for Avastin plus chemotherapy
4 of choice of the investigator for patients who
5 experienced disease progression. The study
6 enrolled 736 patients in the three treatment arms.

7 Efficacy findings. The primary endpoint of
8 this study is investigator-determined progression-
9 free survival. The addition of Avastin to
10 docetaxel resulted in a statistically significant
11 but marginal improvement in PFS with a hazard ratio
12 of 0.7 and 0.62 for the Avastin, 7.5 and
13 15 milligrams arm. The improvement in median PFS
14 was less than one month. The median PFS was
15 7.8 months for the placebo arm, versus 8.7 months
16 for the Avastin 7.5-milligram, and 8.8 months for
17 the 15-milligram arm.

18 This slide shows the PFS Kaplan-Meier curves
19 for the Avastin 7.5 versus placebo.

20 This next slide shows the PFS Kaplan-Meier
21 curves for the Avastin, 15-milligram arm versus
22 placebo.

1 Overall survival is a secondary endpoint in
2 the AVADO trial. Genentech was required to collect
3 and submit mature survival data, assessing whether
4 the addition of Avastin to chemotherapy results in
5 a deleterious effect on survival.

6 This slide shows the mature overall survival
7 results of AVADO. There was no survival benefit
8 with the addition of Avastin to docetaxel at either
9 Avastin dose level. The median overall survival is
10 31.9 months for the placebo arm, 30.8 months, and
11 30.2 months for the Avastin-containing arms.

12 The hazard ratio was 1.1, favoring the
13 placebo arm over the 7.5-milligram per-kilogram
14 Avastin arm. This hazard ratio indicates that
15 those patients in the Avastin arm on the study did
16 not survive as long as those patients receiving
17 docetaxel plus placebo, but this result was not
18 statistically significant. Hazard ratio for the
19 15-milligram arm is 1.

20 Here is the overall survival Kaplan-Meier
21 curves for Avastin 7.5 milligrams versus placebo,
22 and the Kaplan-Meier survival curve for Avastin, 15

1 milligrams versus placebo.

2 Quality of life was assessed in the AVADO
3 study using FACT-B questionnaire and Trial Outcome
4 Index score. The primary analysis was to compare
5 the FACT-B and TOI score changes from baseline to
6 week 9, 15, and 30, 13. Genentech claims that the
7 addition of Avastin to docetaxel did not negatively
8 affect patients' quality of life. However, missing
9 data and the imputation method used make this
10 conclusion questionable. Therefore, there is no
11 data showing improvement in quality of life in the
12 AVADO study.

13 Safety. This slide shows the incidence of
14 all adverse events, grade 3 to 5 adverse events, in
15 serious adverse events for the AVADO study by
16 treatment arm.

17 The AVADO study is one of the few studies,
18 Avastin randomized controlled studies, that
19 collected all adverse events on the study. As you
20 can see, nearly 100 percent of the patients
21 experienced at least one adverse event, fairly
22 typical of this population.

1 The addition of Avastin to docetaxel leads
2 to an increase in the incidence of grade 3 to 5
3 adverse events and serious adverse events as shown
4 here. Serious adverse events are toxicities. They
5 are serious or life-threatening, require medical
6 intervention, hospitalization, or result in death.

7 The next two slides will show the
8 incidence of clinically important adverse events,
9 all grades, reported for the AVADO study. The
10 toxicities listed here, bleeding hemorrhage,
11 hypertension, proteinuria, wound healing
12 complications, fistula gastrointestinal perforation
13 are events known to occur with Avastin.

14 In the 15-milligram arm, bleeding hemorrhage
15 was reported in more than 50 percent of the
16 patients compared to 29 percent in the placebo arm.
17 The majority of these events were grade 1 or 2.
18 One in five patients, 22 percent, treated with
19 Avastin, 15 milligrams, developed hypertension
20 compared to 1 in 10 patients in the placebo arm.

21 Proteinuria was four times more frequent,
22 wound healing complications, five times, fistula GI

1 perforation, three times more frequent in patients
2 treated with Avastin, 15-milligram arm compared to
3 the placebo arm. These toxicities were also
4 increased, though to a lesser extent in the Avastin
5 7.5-milligram arm. In this AVADO trial, there were
6 two deaths due to toxicities known to be associated
7 with Avastin, one pulmonary hemorrhage and one
8 gastrointestinal perforation.

9 Diarrhea, febrile neutropenia, hand-foot
10 syndrome are toxicities known to be associated with
11 docetaxel. They were also more frequent in
12 patients treated with Avastin compared to docetaxel
13 and placebo. Grade 3 and higher, clinically
14 significant adverse events with more than 2 percent
15 difference compared with placebo arm are shown in
16 this slide. Febrile neutropenia, hypertension,
17 fatigue, proteinuria are all significantly
18 increased with the addition of Avastin to
19 paclitaxel.

20 The following two slides summarize CDER's
21 efficacy and safety findings for the AVADO trial.
22 While the difference in PFS between the arms was

1 statistically significant with a hazard ratio of
2 0.7 and 0.62 for the Avastin arms, the magnitude of
3 effect was marginal. The observed improvement in
4 median PFS is less than 1 month.

5 There was an 18.7 difference in overall
6 response rate with the addition of Avastin to
7 docetaxel. There was no improvement in overall
8 survival with the addition of Avastin to docetaxel.
9 Hazard ratio of overall survival was 1.1 in the
10 15-milligram arm, favoring the placebo. And
11 there's no data showing improvement in quality of
12 life.

13 This marginal improvement in PFS, an
14 18 percent difference in response rate, comes at a
15 toxicity cost. The addition of Avastin to
16 docetaxel led to an increased incidence of serious
17 adverse events, grade 3 to 5 events. The increase
18 of adverse events is due to unique events
19 attributable to Avastin, such as hypertension,
20 proteinuria, wound healing complications, and other
21 AEs.

22 Adverse events known to be associated with

1 docetaxel were also increased with the combination,
2 and there were Avastin-related deaths. There was
3 no improvement in survival with the hazard ratio
4 favoring the placebo arm in the 7.5 milligram arm.
5 And there's no data showing improvement in quality
6 of life.

7 I will now move onto the second confirmatory
8 trial, RIBBON 1. The RIBBON 1 trial design is
9 shown in this slide. Briefly, patients with
10 metastatic breast cancer who had not received prior
11 chemotherapy for metastatic disease, HER2-negative,
12 were randomized 2 to 1 to receive chemotherapy with
13 Avastin or placebo. Chemotherapy choice were
14 either anthracycline-based, taxane-based, or
15 capecitabine. Choice of the chemotherapy was at
16 the discretion of the investigator and was
17 specified prior to randomization for use as a
18 stratification variable.

19 The taxane/anthracycline cohort and
20 capecitabine cohort were analyzed separately for
21 comparisons of PFS within each subgroup. Treatment
22 continued until disease progression or an

1 acceptable toxicity. And similar to AVADO,
2 RIBBON 1 also allowed for open-label treatment with
3 Avastin plus chemotherapy, of choice by the
4 investigator for patients who experienced disease
5 progression.

6 RIBBON 1 enrolled 1,237 patients. In the
7 taxane/anthracycline cohort, 50 percent of the
8 patients received taxane-based chemotherapy and
9 50 percent receive anthracycline-based
10 chemotherapy.

11 Next, I will present CDER's findings for the
12 taxane/anthracycline cohort. The primary efficacy
13 endpoint of the study was investigator-determined
14 PFS. Results are shown in this slide. The
15 addition of Avastin to taxane/anthracycline
16 resulted in a statistically significant improvement
17 in median PFS of 1.2 months, with a hazard ratio of
18 0.64. The median PFS was 8 months for the placebo
19 arm and 9.2 months for the Avastin-containing arm.

20 This slide shows the PFS Kaplan-Meier curves
21 for the taxane/anthracycline cohort. Overall
22 survival of taxane/anthracycline cohort is shown

1 here. As previously stated, overall survival is
2 both an efficacy as well as a safety endpoint.
3 There was no survival benefit with the addition of
4 Avastin to taxane- or anthracycline-based
5 chemotherapy.

6 Similar to AVADO trial, final survival data
7 in this arm, in the RIBBON 1 trial, showed a hazard
8 ratio of 1.1, favoring the placebo arm. Median
9 survival was 27.5 months for the Avastin-containing
10 arm, and median survival for the placebo arm was
11 not yet reached at the time of the data cutoff.

12 Here are the overall survival Kaplan-Meier
13 curves for taxane and anthracycline cohorts versus
14 the placebo arm. As discussed earlier, accelerated
15 approval was granted for Avastin plus paclitaxel, a
16 taxane. As a result, prespecified overall survival
17 subgroup analysis was conducted to take a closer
18 look at the taxane subgroup highlighted here.

19 At the time of the data cutoff, there were
20 numerically more deaths in the Avastin-containing
21 arm than placebo arm, 50 percent versus 43 percent.
22 The hazard ratio for the taxane subgroup was

1 26.4 months -- the hazard ratio for the taxane
2 subgroup, sorry, was 1.24, strongly favoring the
3 placebo arm. Median overall survival
4 was 26.4 months for the Avastin arm, and median
5 survival for the control arm was not yet reached at
6 the time of data cutoff. For the anthracycline
7 subgroup, the number of deaths was similar and the
8 hazard ratio was less than 1.

9 CDER acknowledges the exploratory nature of
10 these analyses and the small sample size. With 45
11 events in the placebo arm, this finding must be
12 interpreted with caution. The overall Kaplan-Meier
13 survival curve for the taxane subgroup is shown
14 here. The upper curve, in red, is the placebo arm,
15 and the lower curve, in blue, is the Avastin arm.

16 As previously stated, the reason CDER is
17 interested in this subgroup is because the
18 accelerated approval was granted for Avastin plus
19 paclitaxel and/or the taxane, based on the E2100
20 study.

21 We will move on to the safety findings for
22 the taxane/anthracycline cohort of the RIBBON 1

1 study. This slide shows the safety overview. In
2 contrast to the AVADO trial, RIBBON 1 collected
3 only selected adverse events. In the taxane
4 subgroup, there were 20 percent more adverse events
5 in the Avastin-containing arm compared to placebo.
6 Serious adverse events were 2 times higher in the
7 Avastin arm.

8 As shown in the right-hand column, the
9 addition of Avastin to anthracycline-based
10 chemotherapy also led to an increase in incidence
11 of all adverse events, serious adverse events, and
12 grade 3 to 5 adverse events.

13 As shown here, and in the next two slides,
14 the difference in incidence of adverse events
15 leading to study drug discontinuation was
16 significantly higher in the Avastin arm than in the
17 placebo arm for both the taxane and anthracycline-
18 based chemotherapy subgroups. In the taxane and
19 Avastin subgroup, 24 percent of the patients, 1 in
20 4, discontinued Avastin due to an adverse event.

21 Common adverse events leading to drug
22 discontinuation are listed here: gastrointestinal

1 perforation and fistula, hypertension, left
2 ventricular dysfunction, proteinuria, and
3 hemorrhage.

4 In the anthracycline plus Avastin subgroup
5 of RIBBON 1, 15 percent of the patients
6 discontinued Avastin due to an adverse event.
7 Adverse events leading to drug discontinuation were
8 left ventricular dysfunction, myocardial
9 infarction, hypertension, wound dehiscence, and
10 proteinuria.

11 This table shows grades 3 and higher adverse
12 events, with more than 2 percent difference
13 compared to the placebo arm for the taxane and
14 anthracycline subgroup. Hypertension, febrile
15 neutropenia, bleeding hemorrhage, proteinuria, and
16 left ventricular systolic dysfunction were all
17 occurring at a much higher incidence in the
18 Avastin-containing arm than in the control arm.

19 Grade 3 or higher hypertension was 5 times
20 and 10 times more frequent with Avastin than the
21 control arm. In the taxane subgroup, grade 3 and
22 higher hemorrhage was 5 times more frequent.

1 Grade 3 and higher proteinuria was 4 times, and 2
2 times more frequent in the Avastin arms compared to
3 the control arm.

4 There were four deaths due to
5 gastrointestinal perforation and one due to
6 pulmonary hemorrhage. These are known adverse
7 events, related toxicities. These are Avastin-
8 related toxicities.

9 The following slides summarize CDER's
10 efficacy and safety findings for the
11 taxane/anthracycline cohort. The study met its
12 primary improvement in PFS, with a hazard ratio of
13 0.64. However, the magnitude effect is marginal.
14 The observed improvement in median PFS is
15 1.2 months. There was a 13.3 difference in overall
16 response rate. There was no improvement in overall
17 survival, with a hazard ratio of 1.1 for the entire
18 cohort and 1.25 for the taxane subgroup, strongly
19 favoring the placebo arm.

20 The marginal improvement in PFS and tumor
21 shrinkage comes at a toxicity cost. There was an
22 increased incidence of serious adverse events and

1 grades 3 to 5 adverse events. The increase in
2 adverse events is due to unique events attributable
3 to Avastin. One in 4 patients discontinue Avastin
4 due to toxicity in the taxane subgroup, and there
5 were deaths related to toxicities, known to be
6 associated with Avastin. There was no improvement
7 in overall survival, with a hazard ratio favoring
8 the placebo arm, 1.25 for the taxane subgroup. And
9 no quality of life data were collected in this
10 study.

11 Next, the capecitabine cohort for the
12 RIBBON 1 trial. This slide shows the progression-
13 free survival result of the capecitabine cohort.
14 The addition of Avastin to capecitabine resulted in
15 a statistically significant improvement in median
16 PFS of 2.9 months, with a hazard ratio of 0.69.
17 The median PFS was 5.7 months for the placebo arm
18 and 8.6 months for the capecitabine plus Avastin
19 arm.

20 Here are the PFS Kaplan-Meier curves for the
21 capecitabine cohort. There was no improvement in
22 overall survival with the addition of Avastin to

1 capecitabine. Median overall survival was
2 22.8 months for the placebo arm and 25.7 months for
3 the capecitabine and Avastin arm, with a hazard
4 ratio of 0.88, not statistically significant, but
5 favors the Avastin-containing arm.

6 This slide shows the overall survival
7 Kaplan-Meier curve for the capecitabine cohort.
8 This next slide shows the safety overview for the
9 capecitabine cohort. There was a 13 percent
10 increase in the incidence of any adverse events
11 that were collected in grade 3 to 5 adverse events
12 with the addition of Avastin to capecitabine.
13 Serious adverse events were minimally increased
14 5 percent, and there was no difference in the
15 incident of Avastin or placebo discontinuation
16 between the two arms in this cohort.

17 This slide focuses on the grade 3 to 5
18 adverse events in the capecitabine cohort. They
19 are significantly increased in the Avastin arm.
20 Grade 3 and higher hypertension, proteinuria,
21 arterial thromboembolic event, left ventricular
22 systolic dysfunction, and wound dehiscence occurred

1 at a higher incidence in the Avastin-containing
2 arm. Grade 3 to 4 hypertension was 10 times more
3 frequent in the Avastin arm.

4 In addition, there was an increased
5 incidence of cardiac events leading to death in the
6 Avastin-containing arm, 2 deaths due to myocardial
7 infarction, 2 due to cardiogenic shock and failure,
8 and 1 cardiac arrest. There were no deaths related
9 to cardiac events reported for the placebo arm.

10 This slide summarizes the findings for the
11 capecitabine cohort. The study met its primary
12 endpoint of improvement in PFS, with a hazard ratio
13 of 0.69. The observed improvement in median PFS is
14 2.9 months. There was an 11.8 percent difference
15 in overall response rate, and there was no
16 statistical improvement in overall survival. The
17 hazard ratio for survival was 0.88, favoring the
18 Avastin arm.

19 The addition of Avastin to capecitabine also
20 led to an increase incidence of serious adverse
21 events and grade 3 to 5 events. Cardiac deaths,
22 deaths due to cardiac events, occurred in five

1 patients in the Avastin arm.

2 Results of AVADO and RIBBON 1 were presented
3 to ODAC in July 2010. Committee members were asked
4 if the addition of Avastin to docetaxel in the
5 AVADO study, and to taxane/anthracycline and
6 capecitabine for RIBBON 1 study, represent a
7 favorable risk-benefit analysis for the initial
8 treatment of patients with metastatic breast
9 cancer.

10 The committee was near unanimous in their
11 vote, indicating that the addition of Avastin to
12 these chemotherapy agents did not represent a
13 favorable risk-benefit analysis for this
14 indication. The committee was unanimous in their
15 vote, concluding that the AVADO and RIBBON 1
16 results did not provide confirmatory evidence of
17 clinical benefit of Avastin in combination with
18 paclitaxel in this population. And the committee
19 was near unanimous in recommending that the
20 indication for treatment of metastatic breast
21 cancer be removed from the Avastin label.

22 Shortly before the 2010 ODAC meeting,

1 Genentech submitted results of another study of
2 Avastin for metastatic breast cancer, RIBBON 2. On
3 the basis of RIBBON 2, Genentech again proposed to
4 expand its indication for Avastin. Genentech
5 requested Avastin label expansion for patients with
6 metastatic breast cancer who have received prior
7 chemotherapy to use Avastin in combination with
8 taxane, capecitabine, and gemcitabine.

9 RIBBON 2 was a randomized placebo-controlled
10 study of chemotherapy in combination with Avastin
11 or placebo. Allowable chemotherapy agents, at the
12 discretion of the investigators, were taxane,
13 gemcitabine, vinorelbine, and capecitabine.

14 The study met its primary endpoint of
15 improvement in PFS, with a hazard ratio of 0.78.
16 The median PFS was 5.1 months for the placebo arm
17 and 7.2 months for the Avastin arm. The difference
18 in median PFS was 2.1 months. There was no
19 survival benefit with the addition of Avastin to
20 chemotherapy for this population.

21 Key efficacy results of the five randomized
22 control studies in metastatic breast cancer, three

1 studies for first-line metastatic breast cancer,
2 and two studies for second- and third-line
3 metastatic breast cancer are summarized in this
4 table. The shaded column lists the results of PFS,
5 hazard ratio, and difference in median PFS. With
6 the exception of E2100, the hazard ratio ranged
7 from 0.62 to 0.98 with median difference in PFS
8 from 0.7 to 2.9 months.

9 These findings are all statistically
10 significant. However, statistically significant is
11 not the same as clinical benefit. None of these
12 trials, including E2100, showed an improvement in
13 overall survival. The hazard ratio for overall
14 survival is more than 1 in the AVADO 7.5-milligram
15 arm and the taxane/anthracycline cohort in RIBBON 1
16 trial, favoring the placebo arm.

17 Overall response was a secondary endpoint.
18 Tumor shrinkage ranged from 10 to 27 percent in the
19 trials, as shown here in this table. Since this
20 population was generally asymptomatic or minimally
21 symptomatic at the time of the study entry, the
22 higher response rate with Avastin provides evidence

1 of activity but not of clinical benefit. There is
2 no data showing improvement in health-related
3 quality of life in any of these trials.

4 The addition of Avastin to chemotherapy
5 resulted in an overall increase in serious adverse
6 events and grade 3 to 5 adverse events. As I noted
7 earlier, serious adverse events are toxicities.
8 They are serious or life-threatening, require
9 medical intervention, hospitalization, or result in
10 death.

11 Note that all patients were either
12 asymptomatic or minimally symptomatic at the time
13 of the study entry. When metastatic disease in a
14 patient is not symptomatic, the delay in time to
15 progression accompanied by treatment-related
16 toxicity may not increase the patient's quality of
17 life. On the contrary, the most common toxicity of
18 Avastin is grade 1 to 2 bleeding, reported in more
19 than 50 percent of the patients, in hypertension,
20 which can occur in 1 out of 5 patients receiving
21 Avastin. Grade 3 and higher hypertension was
22 nearly 10 times more frequent in patients receiving

1 Avastin.

2 Other serious adverse events such as
3 hemorrhage, gastrointestinal perforation, fistulas,
4 arterial and venous thromboembolic events,
5 proteinuria, wound healing complications, left
6 ventricular dysfunction, neutropenia, and febrile
7 neutropenia are all events associated with Avastin.
8 They are not as common as grade 1 to 2 hypertension
9 and epistaxis, but they certainly do not improve
10 many patients' quality of life.

11 The toxicities observed in these trials are
12 well-known to Avastin, and the current Avastin
13 label carries a box warning for gastrointestinal
14 perforation, wound healing complications, and
15 hemorrhage. These toxicities are severe and
16 sometimes fatal.

17 In conclusion, the totality of data does not
18 demonstrate a favorable risk-benefit evaluation for
19 the continued marketing of Avastin for metastatic
20 breast cancer.

21 Confirmatory studies, AVADO and RIBBON 1,
22 failed to verify clinical benefit in patients with

1 metastatic breast cancer. They failed to
2 substantiate the magnitude of PFS in E2100. They
3 failed to show any improvement in overall survival.
4 And there's no data showing improvement in health-
5 related quality of life.

6 Avastin, in combination with chemotherapy
7 has a modest effect on PFS, balanced against its
8 risks of serious and life-threatening toxicities.
9 Thank you.

10 The next speaker is Dr. Patricia Keegan.

11 DR. KEEGAN: Good morning. My presentation
12 focuses on CDER's assessment of Genentech's
13 arguments, why the metastatic breast cancer
14 indication for Avastin should be maintained while
15 Genentech conducts an additional study to attempt
16 to substantiate the magnitude of the treatment
17 effect on progression-free survival observed in the
18 E2100 study.

19 Genentech has argued in this proceeding that
20 FDA should maintain approval for Avastin while
21 additional research is conducted. Genentech's
22 specific arguments are that FDA should maintain

1 approval while Genentech conducts and designs new
2 confirmatory trials; that Genentech's completed
3 confirmatory trials met their primary endpoint, but
4 CDER changed the approval standard; that despite
5 the results of the completed confirmatory trials,
6 the proposed new trial is likely to substantiate
7 the results of E2100 because the efficacy of
8 Avastin depends on the chemotherapy partner and the
9 duration of combination therapy, and that CDER has
10 overstated the safety risks of Avastin.

11 CDER has considered Genentech's arguments,
12 and CDER's responses are that CDER has consistently
13 communicated that the magnitude of the progression-
14 free survival effect is critical, that the E2100
15 results are not representative of Avastin's true
16 treatment effect. That is, the E2100 results are
17 an outlier.

18 I note that Genentech has not provided
19 evidence that Avastin's efficacy depends on the
20 chemotherapy partner or the duration of the
21 combination of Avastin and chemotherapy. In
22 addition, a recently completed phase 2 trial

1 assessing the safety and activity of Avastin, in
2 combination with paclitaxel, does not substantiate
3 the E2100 results.

4 The Genentech's proposed confirmatory study
5 is years from completion, and current data suggests
6 that this new trial is unlikely to substantiate
7 clinical benefit, and CDER has not overstated the
8 risks of Avastin. When Avastin is used for the
9 treatment of metastatic breast cancer, these risks
10 outweigh the limited benefits, the limited
11 treatment effects.

12 I will begin by describing the manner in
13 which CDER has consistently communicated to
14 Genentech that the magnitude of the progression-
15 free survival effect is a critical aspect, upon
16 which CDER would base a decision as to whether
17 clinical benefit had been demonstrated.

18 CDER's approval standards and regulatory
19 decisions are based -- and have been consistent
20 throughout its review of Avastin's metastatic
21 breast cancer application, and have been
22 communicated to Genentech specifically. CDER

1 communicated to Genentech on several occasions that
2 the magnitude of the treatment effect on
3 progression-free survival was critical to
4 determining whether this constituted clinical
5 benefit, including on October 28th, 2004,
6 December 5th, 2007, and on February 22nd, 2008. To
7 be clear, CDER did not advise Genentech that any
8 effect on progression-free survival, regardless of
9 its magnitude, would be sufficient to demonstrate
10 clinical benefit.

11 In addition, this general policy is clearly
12 stated in FDA's May 2007 guidance for industry on
13 clinical trial endpoints for the approval of cancer
14 drugs and biologics. This guidance states that
15 whether an improvement in progression-free survival
16 represents a direct clinical benefit or a surrogate
17 for a clinical benefit depends on the magnitude of
18 the effect and the risk-benefit of the new
19 treatment compared to available therapies.

20 On December 5th, 2007, during the ODAC
21 meeting, Genentech's own consultant, Dr. Eric
22 Winer, listed similar criteria for determining that

1 an effect on progression-free survival is clinical
2 benefit. He stated, for progression-free survival
3 to equal benefit, for it to be meaningful, this
4 progression-free survival needs to be substantial
5 in magnitude. It needs to be established with
6 confidence. And ideally, it should be supported by
7 other measures of efficacy, by survival, by quality
8 of life, and by objective response rate.

9 Genentech asserts that CDER was already
10 informed of the difference in progression-free
11 survival from the AVADO study, prior to granting
12 accelerated approval to Avastin in first-line
13 metastatic breast cancer, accepting that the
14 totality of the data reasonably predicted the
15 likelihood of clinical benefit. Thus, according to
16 Genentech, CDER is now changing the approval
17 standards. This is not accurate.

18 CDER communicated that there are three
19 acceptable ways to confirm benefit, this seen in
20 E2100. And these were to substantiate the
21 magnitude of the E2100 progression-free survival
22 result, or to demonstrate an improvement in overall

1 survival, or to demonstrate an improvement in
2 health-related quality of life.

3 When CDER requested the top-line AVADO
4 results, the purpose was to confirm that the AVADO
5 study had met its primary endpoint and to provide
6 evidence that AVADO was not a failed trial, as was
7 the case for the AVF2119g.

8 CDER acknowledges that the progression-free
9 survival effect observed in the AVADO study is
10 small. However, Genentech also directed CDER to
11 the promising trend in overall survival in the
12 top-line results. CDER notes that the AVADO trial
13 could have confirmed clinical benefit if the effect
14 on overall survival had been demonstrated.

15 This slide is taken from Genentech's
16 submission of February 2008, describing the
17 top-line results of the AVADO trial. The
18 PowerPoint presentation included a preliminary
19 analysis of overall survival.

20 I direct your attention to the area circled
21 in red on this slide, as provided by Genentech,
22 which shows a positive trend in overall survival

1 for the Avastin 15-milligram per-kilogram arm
2 compared to docetaxel alone, with an hazard ratio
3 of 0.65 and an unadjusted p value of 0.594.

4 As Dr. Pazdur noted, improvement in survival
5 is the gold standard for any oncology drug.

6 Unfortunately, as you have already seen, from
7 Dr. Pai-Scherf's presentation, the mature analysis
8 of the AVADO results demonstrated no effect on
9 overall survival, with a hazard ratio of 1.1.

10 Finally, CDER considered the totality of the
11 data. The top-line results of the RIBBON 1 study,
12 which were not submitted by Genentech prior to the
13 accelerated approval action, also showed a smaller
14 effect on progression-free survival than E2100 and
15 no effect on overall survival.

16 Genentech asserts that CDER should use
17 hazard ratios, but not median progression-free
18 survival times, to characterize effect sizes.
19 However, characterization of effect sizes by the
20 use of hazard ratios, which assess the treatment
21 effects relative to the control arm across the
22 entire period of the study, and median time to

1 event values, which provide a temporal context for
2 judging the clinical relevance of the hazard ratio,
3 is an accepted convention in describing clinical
4 study results for time-to-event comparisons.

5 Genentech's current position is inconsistent with
6 its own past practices and advertising claims.

7 At the request of CDER, Genentech
8 voluntarily agreed to suspend advertising for the
9 metastatic breast cancer indication. Prior to that
10 point, the characterization of the treatment effect
11 in the E2100 study was described by Genentech using
12 median progression-free survival times for each
13 arm. This was clearly a major component of the
14 advertising claims, as can be seen from these
15 graphics on the slide taken from a Genentech ad for
16 Avastin.

17 I will now discuss the information which led
18 to CDER's conclusion that the results of the E2100
19 study are not representative of the true treatment
20 effects of Avastin in metastatic breast cancer and
21 why CDER considered the E2100 results on
22 progression-free survival to be an outlier.

1 The requested indication for Avastin, for
2 treatment of metastatic breast cancer, was first
3 considered in a supplement containing the results
4 of one failed trial, AVF2119g, and one positive
5 trial, E2100. CDER therefore required more data to
6 understand how to reconcile that inconsistency.

7 The totality of the data from five adequate
8 and well-controlled trials involving seven
9 independently powered comparisons show that the
10 results seen in the E2100 trial are not
11 representative of the Avastin treatment effect in
12 metastatic breast cancer.

13 This slide contains a bar graph displaying
14 the absolute difference in median progression-free
15 survival times across the seven independently
16 powered comparisons in controlled trials submitted
17 by Genentech. The graph clearly shows that the
18 absolute difference in progression-free survival
19 time in E2100, the red bar, is not representative
20 of that seen across the other six comparisons in
21 first- and second-line treatment of metastatic
22 breast cancer.

1 Genentech acknowledges these differences in
2 effect size across studies and poses two hypotheses
3 to explain the failure to confirm the magnitude of
4 the progression-free survival effect in six
5 additional comparisons.

6 However, Genentech's hypotheses are not
7 supported by data. Additionally, there is new
8 information from a randomized phase 2 trial
9 conducted by the Cancer International Research
10 Group, which I will refer to as Study 10, that does
11 not substantiate the magnitude of the treatment
12 effect observed in the E2100 trial.

13 Genentech has stated multiple hypotheses can
14 be generated for why a differential effect would be
15 observed with distinct chemotherapy partners.
16 However, their lead hypotheses are that different
17 chemotherapy partners substantially alter Avastin
18 treatment effect and that the duration of
19 combination therapy substantially alters Avastin
20 treatment effect.

21 No convincing or persuasive evidence has
22 been provided to support either of these

1 hypotheses. Genentech's evidence appears to
2 consist only of the observation of smaller effect
3 sizes in AVADO and RIBBON 1. However, associations
4 do not demonstrate causality. Classic
5 pharmacokinetic study designs have been developed
6 to test such hypotheses, but these tests have not
7 been done, or if performed, have not been submitted
8 to CDER.

9 Before we can reasonably conclude that the
10 chemotherapy partner is an important factor,
11 Genentech should provide data to support this.
12 However, CDER is unaware of and Genentech has not
13 provided this type of scientific data, such as
14 evidence of synergism between Avastin and
15 paclitaxel. By synergism, I mean that the
16 treatment effects of Avastin and paclitaxel given
17 together is larger than the sum of the treatment
18 effects of each drug when that drug is given alone.

19 In addition, we have not been provided with
20 evidence of pharmacokinetic interactions between
21 Avastin and any of the chemotherapeutic agents used
22 in AVADO or RIBBON 1, nor have we been provided

1 with evidence of antagonism between Avastin and any
2 of the chemotherapeutic agents administered in
3 AVADO or RIBBON 1. By antagonism, I mean that the
4 treatment effects of Avastin plus other
5 chemotherapeutic agents, when given in combination,
6 are smaller than the sum of the treatment effects
7 when each drug is given alone.

8 To the contrary, the available
9 pharmacokinetic data indicate that there are no
10 interactions between Avastin and any of the
11 chemotherapeutic agents administered with Avastin
12 in the AVADO and the RIBBON 1 studies.

13 Genentech's theory is that limitations on
14 the duration of combined administration of Avastin
15 and chemotherapy altered the treatment effect.
16 This is inconsistent with the results of other
17 studies of Avastin. Specifically, there is limited
18 treatment effects observed when Avastin was given
19 in combination with taxanes or capecitabine in
20 RIBBON 1, where there were no restrictions on the
21 number of cycles of chemotherapy treatment.

22 In addition, improved survival and confirmed

1 progression-free survival effects were demonstrated
2 in colorectal cancer, lung cancer, and renal cell
3 cancer, where duration of chemotherapy, and thus
4 combination therapy, was limited to a specific
5 number of cycles per treatment period. Genentech
6 has not conducted and does not propose to conduct a
7 study to test this hypothesis.

8 Genentech has identified several studies,
9 purporting to confirm the duration of progression-
10 free survival observed in E2100. CDER's response
11 is that these studies cannot confirm the magnitude
12 of the PFS effect because the data were obtained in
13 single-arm trials, or randomized trials with
14 comparisons to investigational controls, or in
15 small controlled studies with imprecise estimates
16 of the effect size.

17 There is new evidence that supports CDER's
18 conclusion that the E2100 result is an outlier.
19 The new evidence which CDER refers to is Study 10,
20 cited by Genentech as new evidence supporting the
21 E2100 results. Study 10 is a three-arm,
22 randomized, placebo and active-controlled trial,

1 activity-estimating trial, that was published in
2 the Lancet in April 2011.

3 Study 10 accrued 2082 patients, receiving
4 first-line treatment for HER2-negative metastatic
5 breast cancer between December 2006 and July 2008.
6 Patients were allocated equally to one of three
7 treatment arms, consisting of paclitaxel in
8 combination with a placebo tablet for motesanib,
9 paclitaxel in combination with motesanib, or
10 paclitaxel in combination with Avastin, at the same
11 doses and schedules as employed in the E2100 trial.
12 The primary endpoint of Study 10 was overall
13 response rate.

14 In the pairwise comparison of the Avastin-
15 containing arm to the paclitaxel-alone arm, the
16 hazard ratio for progression-free survival was
17 0.79, with a median progression-free survival of
18 11.5 months in the Avastin-containing arm, and
19 9 months for the paclitaxel-alone arm, a difference
20 of 2.5 months. The overall response rate for the
21 Avastin-containing arm was 52 percent, as compared
22 to 41 percent with paclitaxel alone. None of these

1 differences were significant.

2 The results for E2100 and Study 10 are
3 presented for comparison in this table. The
4 difference in median progression-free survival
5 times is only 2.5 months, similar to the results of
6 AVADO and RIBBON 1, and smaller than that observed
7 in the E2100 trial. The hazard ratio for Study 10
8 is 0.79, and the lower bound of the confidence
9 interval excludes the observed hazard ratio for
10 progression-free survival in the E2100 trial of
11 0.48.

12 The magnitude of the treatment effect of
13 Avastin on overall response rate, the primary
14 endpoint of this study, was only 11 percent, again,
15 similar to that observed with AVADO and RIBBON 1,
16 and smaller than that reported for the E2100 trial.

17 While cross-study comparisons should be
18 reviewed with caution, I note that one difference
19 between E2100 and Study 10 are the treatment
20 effects in the control arm of each trial, treated
21 with the same dose and schedule of paclitaxel.

22 For example, the median progression-free

1 survival time for the control arm in E2100 was
2 5.8 months, whereas it was 9 months in Study 10.
3 Similarly, the overall response rate for the
4 control arm in the E2100 study was 22.2 percent,
5 whereas it was 41 percent for the control arm in
6 Study 10.

7 This bar graph for between-arm differences
8 for progression-free survival has been updated to
9 include the results of Study 10. The results of
10 Study 10 suggest that an additional study, using
11 the same dose and schedule of Avastin and
12 paclitaxel, as in E2100, is unlikely to
13 substantiate the magnitude of the progression-free
14 survival treatment effect seen in the E2100 study.

15 I will now discuss why, based on the
16 totality of the data, the indication for Avastin
17 for metastatic breast cancer should be withdrawn,
18 pending the completion of studies which are
19 successful in confirming clinical benefit.

20 Based on its hypotheses that effectiveness
21 of Avastin depend on the chemotherapy partner and
22 the duration of combination treatment, Genentech

1 proposes to conduct a fourth trial in the
2 first-line treatment of metastatic breast cancer to
3 attempt to substantiate the magnitude of Avastin's
4 treatment effect observed in the E2100 trial.

5 With the exception of the use of a placebo
6 infusion in the control arm, the proposed treatment
7 plan is the same as that used in the E2100 trial.
8 The proposed trial differs from the E2100 trial in
9 three aspects. First, it is a double-blind design
10 rather than an open-label trial. Second, the
11 randomization will be stratified by high versus low
12 serum VEGF-A levels. Third, the trial has two
13 co-primary endpoints. They are to assess the
14 treatment effect of Avastin on progression-free
15 survival in the overall population and to assess
16 the treatment effect of Avastin on progression-free
17 survival in the subset of women with high VEGF-A
18 serum levels.

19 There are several factors which may delay
20 timely completion of this trial. First, the
21 protocol is under development. Second, the
22 proposed study, which by its design requires

1 co-development of a validated biomarker assay for
2 accurate and reliable measurement of serum VEGF-A
3 levels that will be used to identify a
4 subpopulation of women with metastatic breast
5 cancer, who might benefit from the addition of
6 Avastin to standard chemotherapy, remains under
7 development. Third, based on recently completed
8 studies, submission of trial results are projected
9 to be three or more years from enrollment of the
10 first subject until submission.

11 Finally, I will discuss why CDER has not
12 overstated the risks of Avastin and why, in the
13 setting of metastatic breast cancer, these risks
14 outweigh the limited treatment effects demonstrated
15 across multiple randomized clinical trials.

16 Genentech argues that FDA has overstated the
17 risk profile of Avastin in metastatic breast cancer
18 through use of general terms, for example,
19 substantial, rather than specific incidence values
20 in the December 16th office director decisional
21 memo. Genentech also states that common adverse
22 events associated with Avastin are clinically

1 manageable and the more serious effects are not
2 common and are clearly set forth in the prescribing
3 information.

4 CDER agrees that in other approved
5 indications with confirmed clinical benefit, such
6 as improved survival or substantiated clinically
7 important increases in progression-free survival,
8 the risks of Avastin are acceptable. However, the
9 limited effects on progression-free survival and
10 overall response rate in metastatic breast cancer
11 do not outweigh the serious and potentially fatal
12 risks, for example, hemorrhage and gastrointestinal
13 perforation, nor the ongoing risks of new, or
14 worsening hypertension, or renal injury manifesting
15 as proteinuria.

16 As I begin my discussion of the risks of
17 Avastin, I wish to remind the committee and
18 Dr. Midthun that most of the adverse reaction data
19 described in the Avastin product label is limited
20 to severe, life-threatening, serious, or fatal
21 toxicities, specifically NCI CTCAE grade 3 to 5
22 non-hematologic toxicity, and NCI CTCAE grade 4 to

1 5 hematologic toxicity for studies supporting
2 approvals in metastatic breast cancer, second-line
3 metastatic colorectal cancer, non-small cell lung
4 cancer, and for more than half the patients
5 enrolled in the studies supporting the approval for
6 the first-line metastatic colorectal cancer
7 indication.

8 CDER agrees that the information in the
9 product labeling is accurate and that the product
10 labeling clearly describes that Avastin causes
11 serious, irreversible, and life-threatening
12 toxicities. CDER has not overstated these risks.

13 In addition to these serious and potentially
14 fatal, life-threatening risks, there are more
15 common toxicities of Avastin, which include minor
16 bleeding, most frequently manifesting as epistaxis,
17 hypertension, proteinuria, and an increased risk of
18 chemotherapy-related toxicities such as
19 neutropenia, febrile neutropenia, sensory
20 neuropathy, diarrhea, and hand-foot syndrome.

21 The clinical impact of the more common
22 Avastin toxicities may be underestimated by

1 oncologists because they are novel, and the initial
2 presentation is clinically asymptomatic. However,
3 lack of symptoms should not be equated with lack of
4 risk. These risks are all the more important in a
5 patient population receiving initial treatment for
6 metastatic breast cancer, where more than half are
7 expected to live more than two years.

8 CDER has received little information on
9 reversibility of these toxicities and no data on
10 the need for additional medication and clinic
11 visits to monitoring and treat patients with these
12 effects, or the impact of Avastin-induced
13 toxicities of hypertension and renal injury on the
14 ability to receive or tolerate second-line or
15 subsequent therapies.

16 I will spend a few minutes discussing what
17 we know and what we do not know about these
18 toxicities.

19 The description of the incidence and
20 severity of proteinuria in product labeling is
21 limited by the type and extent of safety data
22 collected in clinical trials. The labeled findings

1 are similar to the more comprehensive risk analysis
2 conducted by Wu and colleagues and published in the
3 Journal of the American Society of Nephrology.

4 In this meta-analysis, the incidence of
5 renal injury manifesting as proteinuria across five
6 randomized clinical trials is 13.3 percent, or 1 in
7 8 patients treated. This corresponds to a 2.8-fold
8 increase in the risk of developing proteinuria.

9 The incidence of severe or life-threatening
10 proteinuria in randomized clinical studies is
11 2.2 percent, or 1 in 50 patients treated. This
12 corresponds to a 4.8-fold increase in the risk of
13 developing severe or life-threatening proteinuria.

14 The clinical course and outcomes of
15 proteinuria were not collected in most clinical
16 studies submitted by Genentech, and therefore the
17 consequences of Avastin-induced renal toxicity
18 remain poorly characterized. CDER is awaiting the
19 submission of the final report and primary data
20 from the postmarketing sub-study to NSABP C08,
21 intended to further characterize these risks.

22 Data characterizing the clinical course of

1 proteinuria that are contained in the product label
2 note that the median time to resolution of
3 proteinuria was 6.1 months, and in patients with
4 metastatic renal cancer with a median follow-up of
5 11.2 months, proteinuria had not resolved in
6 40 percent of the patients.

7 Pathologic findings, specifically thrombotic
8 microangiopathy, has been identified in renal
9 biopsy specimens obtained from patients with only
10 NCI CTC grade 1 or 2 proteinuria.

11 The following table was abstracted from an
12 article by Izzedine in the European Journal of
13 Cancer. The table provides characteristics of a
14 series of 16 patients who developed proteinuria
15 secondary to VEGF inhibitors, predominately Avastin
16 therapy, where there was pathological evidence of
17 thrombotic microangiopathy and renal biopsy.

18 I draw your attention to the middle columns
19 in the expanded field, which lists the NCI CTCAE
20 severity grade for each of these patients.
21 Approximately half the patients in this series with
22 pathologic evidence of thrombotic microangiopathy

1 were identified as having only NCI CTC grade 1 or 2
2 renal toxicity. This finding raises concerns that
3 the NCI CTCAE severity grade may be a poor
4 predictor of the severity of VEGF inhibitor-induced
5 renal injury.

6 As I present the information on the risks of
7 hypertension, please keep in mind that the criteria
8 for mild and moderate hypertension in the NCI CTCAE
9 versions 2 and 3 are inconsistent with practice
10 guidelines for the treatment of hypertension, and
11 thus are likely to underestimate the clinical
12 severity.

13 The characterization of Avastin-induced
14 hypertension described in the product labeling is
15 based on the limited data, as I've already alluded
16 to. The labeled findings are similar to the more
17 comprehensive risk analysis conducted by Rampura
18 and colleagues and published in the American
19 Journal of Hypertension.

20 In this meta-analysis, the incidence of
21 hypertension is 23.6 percent, or 1 in 4 patients
22 treated. The incidence of severe or life-

1 threatening hypertension is 7.9 percent, or 1 in 13
2 patients treated. This corresponds to a 5.3-fold
3 increase in the risk of developing severe or life-
4 threatening hypertension.

5 While data were captured in clinical studies
6 on the incidence of Avastin-induced hypertension,
7 information on the clinical course and outcomes
8 remains poorly characterized. CDER is again
9 awaiting the submission of a postmarketing sub-
10 study to NSABP C08, intended to further
11 characterize these risks.

12 In addition to the common toxicities,
13 Avastin causes serious toxicities requiring major
14 and minor surgery, hospitalization, persistent
15 morbidity, and even death. CDER has not overstated
16 the seriousness of these toxicities and
17 acknowledges that they occur in less than 2 percent
18 of patients as individual events, occurring at
19 incidences ranging from 1 in 70 to 1 in a thousand
20 patients. These less common but serious toxicities
21 are fistula formation, gastrointestinal
22 perforation, hemorrhage requiring transfusion or

1 other medical intervention, and impaired wound
2 healing and death.

3 This morning we have heard from patients and
4 their families describing how they feel they have
5 benefited from Avastin. However, there are other
6 voices that need to be heard. Those voices include
7 a 53-year-old woman with metastatic breast cancer
8 who suffered severe abdominal pain caused by
9 gastrointestinal perforation that led to her death
10 after 4 doses of Avastin; or an asymptomatic 33-
11 year-old woman with treatment-naïve metastatic
12 breast cancer who suffered a massive fatal
13 pulmonary hemorrhage after 11 doses of Avastin.

14 Given the limited treatment effects
15 consistently demonstrated across multiple clinical
16 trials and the unfavorable risk-benefit analysis,
17 it is inappropriate to maintain the metastatic
18 breast cancer indication for Avastin while
19 Genentech plans to initiate new studies.

20 The treatment effects of Avastin in
21 metastatic breast cancer are limited to a modest
22 improvement in median progression-free survival and

1 a modest improvement in overall response rate among
2 patients who receive Avastin and chemotherapy
3 compared to chemotherapy alone. There was no
4 evidence of an improvement in or relief from
5 disease-related symptoms. There is no evidence of
6 an improvement in overall survival. And all
7 patients are exposed to the common risks of Avastin
8 as well as the less common but life-threatening
9 risks of Avastin.

10 Because of the confusion on this issue, I
11 want to emphasize one point. Despite the hopes of
12 everyone inside and outside this room, after
13 conducting three trials enrolling more than 2,400
14 women receiving first-line treatment for metastatic
15 breast cancer, there is no evidence that Avastin
16 saves or extends lives.

17 As can be seen in this survival curve
18 provided by Genentech, pooling the data across the
19 three first-line trials in metastatic breast
20 cancer, women in general who received Avastin as an
21 add-on to standard chemotherapy did not live any
22 longer than women in general who only received

1 standard chemotherapy.

2 The totality of the data suggests that
3 Genentech's proposed study is not likely to confirm
4 clinical benefit. Genentech may conduct further
5 studies to attempt to show that Avastin provides a
6 benefit for a subset of patients with metastatic
7 breast cancer with high serum VEGF-A levels, but it
8 is not appropriate for the product label for
9 Avastin to contain claims which suggest that
10 Avastin is safe and effective for treatment of
11 women with metastatic breast cancer.

12 I will now turn over to Dr. John Jenkins.

13 DR. JENKINS: Good morning. I'm Dr. John
14 Jenkins. I'm the director of the Office of New
15 Drugs in the Center for Drug Evaluation and
16 Research. I will now summarize and conclude CDER's
17 formal presentation.

18 As you have heard, CDER's decision in 2008
19 to grant accelerated approval for Avastin for the
20 first-line treatment of metastatic breast cancer
21 was an extremely challenging one. At that time,
22 the only data supporting approval came from a

1 single positive trial, E2100, which showed a
2 promising effect on progression-free survival, or
3 PFS, but not on overall survival or quality of
4 life. In contrast, a second trial available at
5 that time failed on all three endpoints.

6 These data were reviewed by ODAC in
7 December 2007, and following a vigorous debate, the
8 members narrowly voted against approval 5 to 4.
9 After carefully considering ODAC's advice and the
10 available data, CDER concluded that accelerated
11 approval should be granted on the basis of the PFS
12 finding from E2100 which, if confirmed by
13 subsequent trials, was felt to result in a positive
14 benefit-risk assessment for Avastin.

15 The approval was conditioned on the
16 requirement that Genentech conduct additional
17 postmarketing trials to confirm clinical benefit of
18 Avastin in breast cancer. CDER's decision to allow
19 Genentech to market this promising new treatment
20 while additional trials were completed is
21 consistent with the principles that underlie the
22 accelerated approval program.

1 Assuming no change in the risk profile of
2 Avastin, confirmation of clinical benefit could
3 have been shown by demonstration of an effect on
4 PFS similar in magnitude to that seen in E2100,
5 demonstration of an improvement in overall
6 survival, which is the gold standard for cancer
7 drug approval, or demonstration of an improvement
8 in quality of life such as symptoms, which patients
9 value even in the fact of no improvement in overall
10 survival. Unfortunately, none of the postmarketing
11 trials have confirmed any of these clinical
12 benefits.

13 Genentech has now submitted the results of
14 five completed clinical trials of Avastin in
15 patients with breast cancer, and the facts are the
16 following.

17 First, no trial on its own, or the combined
18 results of the five trials, has shown an
19 improvement in overall survival. In other words,
20 no trial has shown that patients treated with
21 Avastin lived longer than patients not treated with
22 Avastin.

1 Second, no post-approval trial has shown an
2 improvement in PFS of the magnitude seen in E2100.

3 Finally, no trial has shown an improvement
4 in health-related quality of life. In other words,
5 no trial has shown that patients treated with
6 Avastin feel better than patients not treated with
7 Avastin.

8 The totality of the data available today
9 strongly suggests that the PFS results seen in
10 E2100 were an overestimate of the true effect of
11 Avastin on PFS, and the true effect appears to be
12 much smaller than that predicted at the time of
13 accelerated approval. The small effect of Avastin
14 on PFS must be considered in light of the serious
15 and often poorly-tolerated and potentially lethal
16 toxicity of the drug.

17 After carefully considering the totality of
18 the available data, CDER now concludes that the
19 modest effects of Avastin on PFS do not outweigh
20 its risk in the treatment of breast cancer, and the
21 indication should be withdrawn. Our decision is
22 supported by ODAC's recommendation from the

1 July 2010 meeting, in which the committee voted 12
2 to 1 in favor of withdrawing the indication.

3 Genentech now argues that the agency should
4 maintain the breast cancer indication for Avastin
5 while the company designs and conducts an
6 additional trial, or trials, in another attempt to
7 confirm clinical benefit in this disease. The
8 study that Genentech has proposed is essentially a
9 repeat of the E2100 trial, a comparison of Avastin
10 plus paclitaxel to paclitaxel alone.

11 A sponsor independent of Genentech recently
12 completed such a trial, which Dr. Keegan referred
13 to as Study 10. Study 10 was a phase 2 trial that
14 enrolled approximately 300 patients with
15 HER2-negative metastatic breast cancer. The
16 magnitude of improvement in PFS in Study 10 was
17 less than half of that seen in E2100. The results
18 of Study 10 are in line with the results of the
19 post-approval trials submitted by Genentech and
20 provide support to CDER's conclusion that the PFS
21 results from E2100 were an overestimate of the true
22 effect of Avastin.

1 When we approved Avastin for breast cancer,
2 we understood that the indication would be subject
3 to the accelerated withdrawal procedures if
4 clinical benefit was not confirmed. Accelerated
5 withdrawal is a fundamental part of the accelerated
6 approval pathway and serves as a backstop to
7 protect the public from continued marketing of a
8 drug if clinical benefit is not confirmed.

9 Under the accelerated approval regulations,
10 FDA may withdraw an indication if the postmarketing
11 clinical trials fail to confirm clinical benefit or
12 if the evidence demonstrate that the product has
13 not been shown to be safe and effective for the
14 indication. In the case of Avastin for metastatic
15 breast cancer, we conclude that both of these
16 conditions have been met.

17 Genentech was aware of the accelerated
18 withdrawal standards when CDER approved the breast
19 cancer indication for Avastin in 2008. Now, three
20 years later, they propose that withdrawal of
21 accelerated approval is appropriate only under a
22 new standard, which is shown on this slide. They

1 now propose that withdrawal is only appropriate
2 when, "There is no reasonable likelihood of
3 clinical benefit and no possibility that additional
4 study might further characterize any existing
5 benefit."

6 This unprecedented interpretation of the
7 accelerated withdrawal standards would turn the
8 accelerated approval program on its head, allowing
9 protracted marketing of drugs that have not been
10 shown to be safe and effective while sponsors take
11 numerous bites at the apple in an effort to confirm
12 clinical benefit.

13 Such a standard could seriously undermine
14 the integrity of the accelerated approval program.
15 And it is very important that we preserve the
16 integrity of the accelerated approval program,
17 which has been very successfully used in oncology
18 and other disease areas to provide early access to
19 promising new therapies.

20 Forty-nine indications for cancer drugs have
21 been approved under the accelerated approval
22 program since 1995, and clinical benefit has been

1 confirmed for a majority of those drugs. In other
2 cases, when post-approval trials failed to confirm
3 clinical benefit or could not be completed in a
4 timely manner, sponsors have voluntarily withdrawn
5 their oncology drugs or indications.

6 Failure to confirm clinical benefit for a
7 drug approved under accelerated approval, as
8 occurred in the case of Avastin, is not an
9 indication of a failure of the approval pathway.
10 Rather, it is evidence that CDER is striking the
11 right balance in making promising drugs available
12 to patients while ensuring confirmation of clinical
13 benefit following approval.

14 To maintain the integrity of this approval
15 pathway, CDER must be able to use the accelerated
16 withdrawal procedures when confirmatory trials fail
17 to confirm clinical benefit. We cannot permit
18 sponsors to evergreen approval of a drug that has
19 not been shown to be safe and effective.

20 As I described earlier, the decision to
21 grant accelerated approval for Avastin in the
22 treatment of breast cancer in 2008 was a close call

1 based on the results of a single positive trial in
2 the face of a second negative trial. CDER's
3 current recommendation to withdraw this indication
4 is based on the totality of the data from five
5 controlled trials that enrolled more than 3500
6 patients with breast cancer.

7 The totality of the data show that Avastin
8 has only a modest effect on PFS, and this small
9 effect, in the absence of an effect on overall
10 survival or patient quality of life, does not
11 outweigh its substantial and life-threatening risk.
12 The lesser magnitude of effect on PFS alters the
13 benefit-risk assessment of Avastin, and does not
14 support continued approval.

15 Let me restate several important points. No
16 clinical trial on its own, or the combined results
17 of five clinical trials, has shown an improvement
18 in overall survival. No post-approval clinical
19 trial has shown an improvement in PFS of the
20 magnitude seen in E2100. No clinical trial has
21 shown an improvement in health-related quality of
22 life. And all clinical trials show an increase in

1 serious adverse events with the addition of Avastin
2 to chemotherapy alone.

3 Withdrawal of the indication for Avastin in
4 breast cancer is clearly supported by the data from
5 the available five adequate and well-controlled
6 trials and is the right public health decision.

7 At CDER, we value the views and perspectives
8 of those who do not agree with our decision, and we
9 have carefully considered these views as we have
10 reviewed the available data. In the end, CDER's
11 decision must be based on the available scientific
12 data from adequate and well-controlled trials.
13 These data inform our assessment of the
14 benefit-risk of the drug for the population of
15 patients with breast cancer. That is our
16 obligation under the law, and we take that
17 obligation and our public health mission very
18 seriously.

19 We stand ready to work with Genentech and
20 others to design trials to divine what, if any,
21 subpopulation of patients with breast cancer might
22 derive benefit from this drug that outweigh its

1 risk. If such data are generated, a new science-
2 based indication could be approved. Until that
3 time, it is not appropriate for the drug to
4 continue to be approved for the treatment of breast
5 cancer when the totality of the available data does
6 not support such an approval.

7 I will now review the questions posed to the
8 panel for this hearing and restate CDER's answers.

9 On the slide is question number 1. The
10 answer to this question is yes. The AVADO and
11 RIBBON-1 trials, which Genentech designated as the
12 confirmatory trials, failed to verify the magnitude
13 of PFS that was seen in the E2100 trial and did not
14 show an improvement in overall survival or quality
15 of life.

16 Absent an effect on overall survival or
17 improved quality of life, which we consider
18 measures of direct clinical benefit, the modest
19 effects on PFS are not enough to confirm clinical
20 benefit in light of the serious risk associated
21 with the use of Avastin.

22 Here are the two questions labeled number 2.

1 The answer to these questions is also yes. The
2 totality of the data demonstrate that Avastin has
3 not been shown to be safe and effective for the
4 treatment of breast cancer. Four of the five
5 trials that Genentech submitted in support of this
6 indication showed no effect or only a small effect
7 on PFS, and none of the trials showed that Avastin
8 improved overall survival or quality of life. All
9 trials showed an increased risk of serious side
10 effects. Therefore, the benefits of Avastin do not
11 outweigh its risk for the treatment of breast
12 cancer.

13 On the slide is question number 3. The
14 answer to this question is no. The accelerated
15 approval program is built on the foundation that
16 approval may be withdrawn when post-approval trials
17 fail to confirm clinical benefit, or when the
18 evidence establishes that the drug is not safe and
19 effective for its approved indication.

20 In the case of Avastin, both of these
21 conditions have been met. Permitting continued
22 approval of Avastin for the breast cancer

1 indication while Genentech designs and conducts
2 additional trials would be counter to the totality
3 of the data, which support our conclusion that the
4 benefits of the drug do not outweigh its risk in
5 this disease, would not be in the interest of
6 public health, and could jeopardize the integrity
7 of the accelerated approval program.

8 Thank you, and that now concludes CDER's
9 formal presentation.

10 DR. MIDTHUN: Thank you very much to our
11 presenters from Center for Drugs. We will now
12 break for lunch, but let me just draw your
13 attention to the fact that there is an error in the
14 program. There is one hour allocated for lunch.
15 And so I would expect people to return in one hour
16 from now, which is at 1:15. Thank you.

17 (Whereupon, at 12:15 p.m., a lunch recess
18 was taken.)

19
20
21
22

A F T E R N O O N S E S S I O N

(1:15 p.m.)

Questions by Genentech

DR. MIDTHUN: Good afternoon. I ask everyone to please take their seats, and we will now proceed with the next portion of the hearing.

In this portion, Genentech will have the opportunity to question the CDER presenters. That will last an hour, and then we will have a session where the advisory committee members and I will ask the CDER presenters questions, and then we will break for 15 minutes between that portion and initiating the clarifying questions portion.

So let's start and we'll have one hour for this next session. Thank you.

MR. SCHMIDT: Thank you, Dr. Midthun. As we've heard today, this hearing is immensely important to patients, doctors, innovators, researchers, and research companies like Genentech. So we appreciate the opportunity to be able to ask CDER questions and to be able to present our case tomorrow, our views tomorrow.

1 Just to introduce us, my name is Paul
2 Schmidt. I'm joined, on my right, by Dr. Philippe
3 Bishop, who heads Genentech's development program
4 for Avastin; and, on my left, by Dr. Jeff
5 Helterbrand, who is the global head of
6 biostatistics for all Genentech medications. And
7 we'll take turns asking questions today.

8 CDER's presentation points out that there
9 are very respectful but vigorous areas of
10 disagreement between CDER and Genentech on what the
11 data shows. But we believe there are also areas of
12 agreement between the two sides, and that's where
13 I'd like to start by asking some questions, where
14 we agree on what the data shows and what
15 conclusions we draw from the data. And I'd like to
16 start with safety.

17 When we talk about safety, am I correct that
18 CDER agrees that the label fairly describes the
19 safety for Avastin?

20 DR. KEEGAN: Yes.

21 MR. SCHMIDT: And that safety has not
22 materially changed from the time of accelerated

1 approval.

2 DR. KEEGAN: Yes.

3 MR. SCHMIDT: So what we're talking about
4 today when we're talking about safety is we're not
5 talking about a change in the safety since the time
6 of accelerated approval, we're talking about an
7 initial showing of benefit in E2100 that justified
8 that safety profile, and CDER no longer believes
9 that that safety profile is appropriate in light of
10 its current views on the efficacy of Avastin.

11 DR. KEEGAN: Yes.

12 MR. SCHMIDT: And that's why, had the E2100
13 data replicated itself, in CDER's view, in terms of
14 the magnitude of benefit, we wouldn't be having
15 this discussion today. The benefit would outweigh
16 the safety.

17 DR. KEEGAN: Correct.

18 MR. SCHMIDT: Okay. Now, the basis for
19 approval in E2100 was the showing of PFS benefit in
20 that study, with no detriment to overall survival;
21 is that correct?

22 DR. KEEGAN: Correct.

1 MR. SCHMIDT: There was no showing of
2 overall survival nor was there a showing of quality
3 of life.

4 DR. KEEGAN: Correct. There was no effect
5 on survival or quality of life.

6 MR. SCHMIDT: And CDER, as we understand it,
7 adheres to the view -- and I'll read from CDER's
8 summary of arguments. CDER has not changed its
9 views regarding the usefulness of PFS as a clinical
10 endpoint for the approval of cancer drugs and has
11 not determined that an overall survival benefit is
12 always needed in addition to a PFS improvement.

13 That's from CDER's summary of arguments.
14 CDER in the past has received questions from the
15 ODAC on this point and may receive questions on the
16 meaning of progression-free survival as an
17 approvable endpoint.

18 Today, does CDER stand behind those
19 statements that progression-free survival can be an
20 approvable endpoint and that overall survival is
21 not always required?

22 DR. PAZDUR: Yes, we do; but it would have

1 to be considered in the context of a risk-benefit
2 assessment, and one would strongly consider the
3 magnitude of the effect in making a decision on
4 that.

5 MR. SCHMIDT: Why is it that progression-
6 free survival is an approvable endpoint, in CDER's
7 view?

8 DR. PAZDUR: There has been a lot of
9 controversy regarding the use of PFS as an
10 approvable endpoint. Arguments that were made even
11 in the December 2007 ODAC meeting pointed to the
12 fact that there may be usefulness in the delay of
13 therapies, subsequent therapies, or perhaps in the
14 amelioration of symptoms that simply could not be
15 picked up.

16 These are relatively theoretical points of
17 view. They have not been shown, and we were
18 willing to take that leap of faith to go in that
19 direction in order to get cancer drugs out to the
20 public.

21 MR. SCHMIDT: And would be again.

22 DR. PAZDUR: Yes.

1 MR. SCHMIDT: Let me take a different area
2 where I believe we agree, and I'm actually drawing
3 on slide 91, which, Dr. Keegan, was one of your
4 slides, which quoted the 2007 guidance.

5 Am I correct that in assessing and
6 interpreting the benefits and risks of a given
7 medicine and, in particular, in weighing PFS
8 benefit, CDER will look at what's known about the
9 benefits and safety of other medicines that treat
10 the same condition.

11 DR. KEEGAN: They will look at the disease
12 itself and the available alternative therapy in
13 order to put the risks and benefits in context.

14 MR. SCHMIDT: And, in fact, CDER has done
15 that with respect to Avastin. It has looked at
16 some of the other treatments that are available for
17 first-line metastatic breast cancer and judging the
18 efficacy of Avastin; is that correct?

19 DR. KEEGAN: Since this was an add-on
20 therapy to standard therapy, what we were looking
21 at was the incremental benefits and the incremental
22 risks.

1 MR. SCHMIDT: Well, CDER has also compared
2 the Avastin data at different times to Gemzar and
3 Herceptin data; is that correct?

4 MS. BRANDEL: I would just like to remind
5 everyone that according to the ground rules set out
6 in the notice of hearing, decisions regarding other
7 products would not be considered relevant to this
8 proceeding.

9 MR. SCHMIDT: Well, I'd like to request an
10 answer to that question. I'm asking about the
11 data.

12 Am I correct that in its approval documents
13 relating to Avastin in metastatic breast cancer,
14 CDER has looked to data on other treatments for the
15 same disease?

16 DR. KEEGAN: Could you clarify which
17 documents you're referring to?

18 MR. SCHMIDT: Sure. Why don't we put up
19 document 39, please? And let's go to page 4 of
20 this document. Before we go to page 4, let's look
21 at the cover page. This is the office director's
22 memo from December 15th, 2010 in connection with

1 the NOH decision.

2 Dr. Pazdur, your name appears on there.

3 Dr. Jenkins, you appear on there, as well.

4 If we go ahead to the fourth page of this
5 document and look under the conclusions, paragraph
6 numbered 1, we see references about halfway through
7 that paragraph to data for Herceptin and Gemzar.

8 That's not uncommon to do that, is it?

9 DR. PAZDUR: No.

10 MR. SCHMIDT: To reference other data for
11 other medications that treat the same condition;
12 correct?

13 DR. PAZDUR: Correct.

14 MR. SCHMIDT: Thank you. Let me touch on
15 one other area where I think the parties have
16 agreement. It's actually something that
17 Dr. Midthun spoke to at the very beginning of the
18 proceeding.

19 Are we in agreement that there is unmet
20 medical need for HER2-negative metastatic breast
21 cancer?

22 DR. PAZDUR: Which line of therapy are you

1 speaking of? Just in general in breast cancer?

2 MR. SCHMIDT: In general, yes.

3 DR. PAZDUR: In general, for breast cancer,
4 yes, there would be a need for other therapies.
5 For first-line breast cancer, there are many
6 approved therapies.

7 MR. SCHMIDT: Okay. Well, let me ask about
8 that. Am I correct that in the past 30 years,
9 there has been only one other non-hormonal
10 medication approved for first-line HER2-negative
11 metastatic breast cancer? And I'm thinking
12 specifically of gemcitabine, Gemzar.

13 DR. PAZDUR: I think that's right. I
14 haven't looked at the data in some time. So I
15 can't answer definitively.

16 MR. SCHMIDT: There are FDA documents I
17 could show on that point, but in the interest of
18 time, I'm going to jump to my next question, unless
19 you'd like to stop and look at them.

20 The next question is, am I correct that the
21 mature survival data for Gemzar did not show an
22 overall survival benefit?

1 DR. PAZDUR: Yes. When we approved the
2 drug, there was interim data that showed a p value
3 less than .05. The numerical trend was maintained
4 until the final, but it was not an overall survival
5 improvement. The drug was approved on the basis of
6 the PFS value.

7 MR. SCHMIDT: So am I correct that there has
8 been no non-hormonal medication in the past 30
9 years that has shown, in the final study results, a
10 statistically significant improvement in overall
11 survival in the context of first-line metastatic
12 breast cancer?

13 DR. KEEGAN: We've had several advisory
14 committees providing advice on the appropriate
15 endpoints for metastatic breast cancer, and there
16 is a sense among the advisory committee and the
17 community that, in fact, doxorubicin and even the
18 taxanes provide a survival benefit. Those may not
19 have been the basis for the approval, but they are,
20 in fact, how we understand and think of those drugs
21 today.

22 MR. SCHMIDT: My question was focused on

1 approvals in the last 30 years targeted
2 specifically for first-line HER2-negative
3 metastatic breast cancer.

4 Are there any in that setting that the final
5 data has shown an overall survival benefit?

6 DR. KEEGAN: Other than Avastin, there is no
7 drug that carries that specific indication of HER2-
8 negative metastatic breast cancer. So it's in a
9 class by itself.

10 MR. SCHMIDT: You wouldn't include Gemzar in
11 that group?

12 DR. KEEGAN: The indication isn't limited.

13 MR. SCHMIDT: Okay. I'm going to pass the
14 questions now to Dr. Bishop, who is going to ask
15 some questions on the safety profile of Avastin,
16 which we've talked about, in terms of where it
17 stands and the fact that it has not changed since
18 the time of accelerated approval. And then we'd
19 like to ask some questions about efficacy and how
20 efficacy is measured.

21 DR. BISHOP: I would like to transition now
22 to a safety issue that has been the subject, I

1 think, of discussion previously, but may have
2 confused some. And specifically, I want to talk
3 about the rates of death observed in the metastatic
4 breast cancer trials in combination chemotherapy
5 only and Avastin treatment arms.

6 To facilitate the discussion, I would like
7 to show document 42, please. So what is shown here
8 are the fatality rates observed in our studies, and
9 the data is from our sBLA submission from the
10 clinical summary of safety and the clinical summary
11 of efficacy, which FDA, I believe, has reviewed.

12 You can see a column of the pooled analysis,
13 and the first number there represents the chemo
14 only arm -- I mean, the Avastin-chemotherapy arm,
15 and the second number represents the chemo only
16 arm, and the last column is from the E2100 data.

17 Now, focusing on the first row, we see that
18 there are fewer total deaths in the Avastin-
19 chemotherapy arm than in the chemotherapy arm,
20 regardless of whether or not one considers all
21 three first-line metastatic breast cancer trials at
22 the approved dose or whether or not someone

1 examines the E2100 results alone.

2 Do you agree with that?

3 DR. PAI-SCHERF: I do not have the pooled
4 data in my deck, but I will be happy to show the
5 death rates of individual studies and we can walk
6 over them, because I can show you the death-
7 associated adverse events and how we assigned the
8 relationship to treatment.

9 Would you permit that I go over those
10 slides?

11 DR. BISHOP: So here we are focusing on
12 E2100 versus the aggregate data, which represents
13 1,427 patients for the Avastin-chemotherapy and the
14 chemotherapy.

15 DR. PAI-SCHERF: No. Actually, I am talking
16 about in --

17 DR. BISHOP: Yes. So I think we'll have an
18 opportunity to go over individual trials perhaps
19 tomorrow when we present our studies. But it is
20 fair to say that the FDA does look at aggregate
21 data, including pooled analysis, when you make an
22 assessment of death, especially in the context of

1 safety; is that correct?

2 DR. PAI-SCHERF: Yes. But in the case of
3 the confirmatory trials, we looked at individual
4 data, as well as the aggregates.

5 DR. BISHOP: Fair enough.

6 DR. PAI-SCHERF: And I would like to say
7 that assignment of that in these trials were done
8 by looking at individual patients who died on
9 protocol, and, as you know, we look at any death
10 and any serious adverse events on trial very
11 closely and carefully.

12 We look at individual patients' case report
13 forms, narratives, and, as you know, sometimes we'd
14 ask for patients records in order to make our
15 assignment. And the cases that I mentioned that
16 died due to potentially related Avastin, they were
17 assigned by looking at the data closely.

18 DR. BISHOP: But looking at the first row,
19 this is the overall death that's observed and these
20 are the facts of our submission.

21 So, in fact, for the Avastin-chemo, there
22 were fewer deaths, 52 percent versus 55.8 in the

1 aggregate data, which I believe the agency looks
2 at.

3 Now, with regard to attribution, is it also
4 fair to say that when we look at deaths due to
5 breast cancer or deaths to other reasons, that
6 there were fewer overall deaths in the categories
7 for the patients treated with Avastin and
8 chemotherapy versus chemotherapy alone?

9 DR. PAI-SCHERF: The tables that I have show
10 actually the deaths attributed to adverse events
11 were either equal or slightly lower. However, as I
12 stated, we look at individual cases and do our
13 assignment.

14 In the case of Avastin, we are very aware of
15 the toxicities, and, as you know, the label carries
16 a black box warning stating that hemorrhage, GI
17 perforation, fistulas can all cause death. And I
18 think our findings are not different from what is
19 in the label.

20 DR. BISHOP: And those deaths would be
21 included in the deaths due to other reasons. This
22 would be treatment-related deaths and missing or

1 unknown or other causes of death.

2 DR. PAI-SCHERF: Some of them, yes.

3 DR. BISHOP: And in these cases, then, we
4 would view these categories as being important and
5 I would suspect that CDER would agree with that.

6 DR. PAI-SCHERF: All deaths on protocol are
7 very important. But may I add that death
8 assignment in protocol in cancer patients with many
9 co-morbid diseases and sometimes, because of the
10 disease, can be difficult.

11 There are cases -- and one case here that we
12 have a patient who developed wound healing
13 complications and fistula and died a few weeks
14 later and was attributed as causes other than to
15 Avastin.

16 So death attribution is difficult, and being
17 a reviewer with this product for almost six years,
18 I see many cases coming to my desk. And my overall
19 feeling is that the 1 percent attribution is a
20 conservative number of deaths attributed to
21 Avastin.

22 MR. SCHMIDT: Okay. We'd like to ask some

1 questions now about efficacy and specifically about
2 the E2100 study and CDER's views on the E2100
3 study.

4 Before I ask that question, though, let me
5 ask a general question. We heard today many moving
6 stories from patients of Avastin who used the
7 phrase "super responder."

8 Does CDER agree that there is some set of
9 patients, breast cancer patients, for whom Avastin
10 provides meaningful clinical benefit?

11 DR. KEEGAN: No, we do not agree.

12 MR. SCHMIDT: Well, let's talk about E2100
13 and what E2100 shows. E2100 was, of course, the
14 government-sponsored study that was the basis for
15 CDER's decision to approve -- to grant accelerated
16 approval for Avastin with paclitaxel.

17 Am I correct that CDER requested that E2100
18 be subject to independent review and various
19 sensitivity analyses on many of the points, many of
20 the questions that have been raised today about
21 E2100?

22 DR. KEEGAN: Yes, because of concerns with

1 regards to the completeness of the assessment, we
2 did, and because of the open label nature of the
3 trial and the subjectivity of the endpoint, we did
4 request both an independent review, as well as
5 sensitivity analysis to assess for the robustness
6 of the results in light of the missing data.

7 MR. SCHMIDT: So to talk about some of the
8 concerns, including the missing data concern -- and
9 maybe I'll start off there -- were specific
10 analyses done to ensure that missing data was not
11 undermining the reliability of the results, and is
12 it, in fact, true that CDER concluded that the
13 amount of missing data was in line with the amount
14 of missing data seen in other approvable trials?

15 DR. KEEGAN: So there were multiple issues,
16 partly, the open label nature, which introduces
17 some level of bias; partly, the lack of
18 concordance; partly, the missing data. Multiple
19 areas raised concerns regarding our confidence in
20 the estimate that was provided.

21 There is no way that a sensitivity analysis
22 can ensure that those problems have been addressed.

1 It is simply a way to assess what the potential
2 impacts are. But sensitivity analyses will never
3 be able to ensure or compensate for missing data or
4 data conduct issues.

5 So it was simply a way to assess what were
6 the possible ranges or limits, outside limits of
7 the treatment effects that were being demonstrated.

8 MR. SCHMIDT: And just to follow-up on my
9 question, which was more targeted, were, in fact,
10 sensitivity analyses conducted to determine that
11 the amount of missing data was not undermining the
12 reliability of the results, and did CDER conclude
13 that the amount of missing data was in line with
14 that seen in other studies?

15 DR. KEEGAN: We did not conclude that the
16 amount of missing data or missing information and
17 data issues were in line with other studies. We
18 found this to be different.

19 We found the lack of concordance with other
20 studies to be different, and we did not use the
21 sensitivity analyses to assure ourselves of any
22 specific treatment effect, but to assure us that a

1 treatment effect did, in fact, exist and might
2 possibly include the range now in the label.

3 MR. SCHMIDT: Thank you. Why don't we call
4 up document 22, please? Document 22 is a copy of
5 the February 21st, 2008 office director's memo,
6 which we received a month or so ago in connection
7 with these proceedings.

8 If we jump ahead to the fourth page of this
9 document and we look at the second full paragraph,
10 and let's look at the last two sentences.

11 They say, "Prespecified sensitivity analyses
12 corroborate the maintenance of a treatment effect
13 in handling missing data. Recent applications have
14 had missing data similar to that observed in the
15 current Avastin application," and then it goes on
16 to discuss those applications.

17 That's another example of what I was talking
18 about, about sometimes looking at data from other
19 contexts.

20 Was that a correct statement when that was
21 made?

22 DR. PAZDUR: Yes. I think, though, what I'd

1 like to point out is we agreed about there is a
2 treatment effect here. The robustness issue is not
3 in debate, in our mind.

4 The problem that we had with this
5 application when it came in were many issues that
6 each in themselves perhaps were not unprecedented.
7 However, when you took a look at all of the issues
8 under consideration, we really felt that there was
9 a need to repeat the study.

10 Those issues included a single study, a
11 second study done in -- a negative study done in
12 the second-line study, a lack of reliability in
13 radiological reviews, almost a third of the
14 patients not followed until a PFS event or end of
15 study, and missing scans, about 10 percent.

16 Here, again, not one of these is
17 unprecedented. However, once you start building a
18 record here of multiple issues in an application,
19 there is a problem which requires the study then to
20 be replicated.

21 MR. SCHMIDT: Let me touch on some of those
22 other issues. One of the issues, Dr. Keegan, I

1 believe you mentioned was the open label design.

2 Is it correct that most oncology trials have
3 open label designs and that they've supported full
4 approval for medicines like Gemzar, Herceptin,
5 Tykerb and Ixemptra?

6 DR. KEEGAN: I can't speak to the exact
7 proportion that might be open label in nature. I
8 can say that when we are aware of a study and we've
9 discussed it in advance, or as soon as we've become
10 aware of the study, as we were with E2100 that was
11 ongoing, we advise that there be a prospective plan
12 to assess the endpoint by an independent group
13 masked to treatment assignment.

14 In some of the other studies you mentioned,
15 that occurred prospectively, whereas it did not
16 occur prospectively with E2100.

17 MR. SCHMIDT: Were those other examples I
18 mentioned, in fact, examples of open label trials
19 supporting approvals?

20 DR. KEEGAN: Open label trials where the
21 prospective plan for independent evaluation of the
22 subjective treatment endpoint was carried out, yes.

1 MR. SCHMIDT: Let me talk about the point
2 about scan assessment, and then I'll move on to
3 another subject.

4 At CDER's request, there was an evaluation
5 conducted where the original investigators'
6 assessments of the scan were tracked against
7 assessments by independent review facilities.

8 Am I correct that CDER came to the
9 conclusion -- and just so there are no surprises,
10 I'm reading from the office director's memo again,
11 that because of the close agreement between the two
12 assessments, investigator and IRF, systemic bias
13 seems unlikely?

14 DR. PAZDUR: Yes. Here, again, we agree
15 that the effects on the primary endpoint were
16 robust. Our major concern with this application,
17 because it is being taken in a risk-benefit
18 analysis, is what was the magnitude of the effect
19 of the PFS, and that cannot be addressed simply by
20 the sensitivity analyses.

21 MR. SCHMIDT: And, Dr. Pazdur, you may have
22 just spoken to this in your answer. Shortly after

1 accelerated approval was granted, you gave an
2 interview in the Cancer letter and stated that
3 "E2100 trial was statistically robust. We are
4 confident in an effect on the primary endpoint."

5 Was that a correct statement?

6 DR. PAZDUR: Yes, it is. And that was also
7 stated in Dr. Lee Pai's initial presentations in
8 the December 2007 ODAC.

9 MR. SCHMIDT: Let me turn to another topic.
10 We've been talking about progression-free survival.
11 I'd like to ask about another measure of benefit,
12 which is one-year survival, the number of women
13 alive after one year taking the medicine. And if
14 we take the E2100 study, for example, the E2100
15 study showed a 7.4 percent absolute increase in the
16 number of women alive after one year who used
17 Avastin versus the number of women alive after one
18 year who did not use Avastin. We heard one-year
19 survival data cited today in the comments from one
20 of the public speakers, as well.

21 My question is, does CDER consider that
22 data, the number of additional women alive after

1 one year, to be relevant benefit data that weighs
2 against the risks for a medicine like Avastin?

3 DR. PAZDUR: When we assess overall survival
4 in making any overall survival claims, we would be
5 looking at a log rank survival analysis, not a
6 point estimate. I included the one-year survival
7 in my office memo not so much as an efficacy issue,
8 but one of safety, that patients were not
9 succumbing to the toxicities of the therapy.

10 If one wants to start taking a look at point
11 estimates or single points on a survival curve that
12 are unspecified, it is tremendously treacherous to
13 do so. If we take a look at your composite graph
14 of the survival of all patients treated with
15 Avastin from all of the trials, at three years, if
16 I just pick that endpoint up, the placebo curve is
17 actually doing better.

18 MR. SCHMIDT: And just to be sure I have
19 your answer on that, as I understood your reference
20 to one-year survival data in your office director's
21 memo, you were balancing the one-year survival data
22 against data on the risks of Avastin.

1 Is that a legitimate balancing to perform?

2 DR. PAZDUR: The toxicities were not causing
3 deaths in patients at that specific time.

4 MR. SCHMIDT: So is that a relevant analysis
5 to perform, one-year survival data weighed against
6 some of the adverse event data?

7 DR. PAZDUR: I included it as a description
8 of the effect of the drug and the toxicity of the
9 drug.

10 MR. SCHMIDT: I'd like to ask a few
11 questions about Study 10, given how prominently
12 Study 10 featured in the presentation. In this
13 phase 2 study, was the Avastin analysis the primary
14 objective or an exploratory objective?

15 DR. KEEGAN: I refer to the Lancet article
16 and not to a clinical protocol, so I can't answer
17 specifically what the analysis plan was.

18 MR. SCHMIDT: Okay. Well, let me ask maybe
19 a question that will short-circuit some other
20 questions. Has CDER reviewed, to its comfort, the
21 underlying data on Study 10 in detail, such as in a
22 final clinical study report?

1 DR. KEEGAN: No.

2 MR. SCHMIDT: Is CDER aware that Amgen has
3 submitted data to the agency suggesting that the
4 study had a higher degree, for example, of
5 censoring in the control arm with a larger PFS
6 benefit as measured by the investigators?

7 DR. KEEGAN: No.

8 MR. SCHMIDT: That's not data that CDER has
9 considered in citing Study 10.

10 DR. KEEGAN: I think your question was, was
11 I aware of a submission, and I'm not aware of that
12 submission.

13 MR. SCHMIDT: Okay. Has CDER reviewed any
14 data underlying Study 10 to get itself comfortable
15 that it's an appropriate study for CDER to be
16 considering?

17 DR. KEEGAN: CDER chose that study because
18 it was identified by Genentech as new evidence, and
19 we looked first at the San Antonio breast cancer
20 information that you cited and then later at the
21 Lancet article when it was published.

22 MR. SCHMIDT: Okay. But has CDER conducted

1 the review of that study it would want to conduct
2 in order to rely on that study?

3 DR. KEEGAN: For what purpose?

4 MR. SCHMIDT: For purposes of making
5 approval or withdrawal decisions with respect to
6 Avastin?

7 DR. KEEGAN: We were looking at it for
8 purposes of supporting confidence in the magnitude
9 of the effects cited in E2100 or not.

10 DR. JENKINS: And I would add to that
11 comment. We made our recommendation in CDER for
12 withdrawal of Avastin's breast cancer indication
13 prior to our knowledge of the results of the
14 Study 10.

15 As Dr. Keegan mentioned, Genentech made
16 reference to Study 10 in your submissions. We've
17 reviewed the manuscript, and we view it as
18 supporting information to help us understand all
19 the data available, but we're not basing our
20 recommendation for withdrawal on Study 10. But
21 Genentech did submit reference Study 10 to us, so
22 we thought it was appropriate to review it and

1 provide some information in context.

2 MR. SCHMIDT: But that review has not
3 included a review of the underlying data for that
4 study.

5 DR. JENKINS: It includes review of the
6 manuscript published in Lancet, not a full study
7 report submitted by the sponsor.

8 MR. SCHMIDT: Okay.

9 DR. JENKINS: But, again, Genentech
10 submitted that study in reference to your
11 submissions. So that's why we brought it up and
12 looked at it. You referenced it as support for
13 your case, so we looked at it to see what
14 information we could learn from the case in the
15 study relative to the points at hand.

16 MR. SCHMIDT: Okay. We'd like to ask now
17 about some of measures used to assess Avastin's
18 efficacy and to ask some more detailed questions
19 about AVADO and RIBBON-1, and Dr. Helterbrand will
20 be doing that.

21 DR. HELTERBRAND: Good afternoon. So
22 whether improvement in progression-free survival

1 represents clinical benefit depends on the
2 magnitude of improvement, according to CDER, and my
3 questions are going to focus on the choices CDER
4 must make to determine what is sufficient to be
5 clinical benefit.

6 To help us, could I please see document 34?
7 And this will lead up to our first question.

8 On this slide, I have summarized the
9 relative advantages of choosing the difference in
10 medians as a measure of magnitude of benefit versus
11 the hazard ratio. The key advantage of the
12 difference in medians is that it can be easily
13 translated into a prolongation estimate, such as a
14 weeks or months improvement.

15 One key advantage of the hazard ratio is
16 that it uses all of the data from all patients
17 rather than just reflecting a single point on the
18 survival curve.

19 Additionally, the hazard ratio estimate is
20 typically adjusted for randomization factors, such
21 as ECOG performance status, in order to reduce bias
22 in the magnitude estimate. And, finally, the

1 hazard ratio is the typical basis for study
2 designs, as it is directly aligned with the primary
3 hypothesis testing procedure used in survival
4 trials, the log rank test or the stratified log
5 rank test.

6 So my first question for CDER would be would
7 CDER agree that this represents a reasonable
8 characterization of the relative merits of these
9 two measures of magnitude?

10 DR. SRIDHARA: Yes, and that's the reason
11 that we look at both of them. Hazard ratio doesn't
12 give us the time that's there. For example, a
13 change in two months to four months versus a change
14 in 12 months to 24 months, under certain
15 assumptions, you can say that the hazard ratio is
16 .5 in both cases.

17 So in order to understand what's the
18 temporal implication of this, we do look at both of
19 them.

20 DR. HELTERBRAND: So in the Avastin case,
21 CDER has chosen to emphasize the difference in
22 medians as the measure of magnitude, as we saw with

1 the presentation this morning.

2 Now, would CDER agree that the outcomes of
3 all patients are important, not just those of the
4 median patients? And moreover, would CDER agree
5 that the hazard ratio results from studies should
6 not be ignored? And based on your response, I
7 would say that you would not ignore the hazard
8 ratio.

9 Can you confirm?

10 DR. SRIDHARA: Hazard ratio was certainly
11 taken into consideration. Again, we don't look at
12 it in isolation and we do look at the difference in
13 medians.

14 So we have had applications where the hazard
15 ratio was .5 and, in fact, the difference in PFS
16 was just two weeks. And so where do we take that
17 then? Yes, the hazard ratio was small enough, but
18 the difference in medians was too small to be
19 clinically meaningful.

20 So from a statistical point of view, the
21 study was designed to test the hazard ratio. It
22 did show a statistical significance. I don't think

1 we are questioning here that there is statistical
2 significance. However, then it goes to the
3 clinical team to assess whether this is clinically
4 meaningful, and that's where the median differences
5 also come into the picture, along with the hazard
6 ratio.

7 DR. HELTERBRAND: So now we have the hazard
8 ratio and we have the difference in medians,
9 multiple measures of magnitude to consider. But
10 that does cause some ambiguity.

11 If both measures are important, does that
12 mean CDER is looking for studies to achieve a
13 certain magnitude of improvement for both the
14 hazard ratio and the difference in medians that is
15 of two hurdles?

16 DR. SRIDHARA: It depends on the endpoint.
17 If it's overall survival, it is difficult to
18 pinpoint what median difference is good enough or
19 what is not. However, in progression-free
20 survival, when the assessment is based on the
21 frequency of measurement, it's actually timed to
22 radiographic scanning rather than actual

1 progression. And so we need to look at these types
2 of median differences, as well.

3 DR. HELTERBRAND: So the answer is, yes, you
4 have to -- there is a median threshold on the
5 difference of medians that needs to be met, as well
6 as a threshold for hazard ratios?

7 DR. SRIDHARA: The threshold is dependent on
8 what is generally considered as a clinically
9 meaningful and where is the stage of the disease
10 and different diseases themselves.

11 So a lung cancer cannot be equated to a
12 breast cancer or to a prostate cancer. So it
13 really depends on the disease and the stage of the
14 disease to come up with what this threshold should
15 be, and we usually refer that to the clinicians.
16 And I believe right at the beginning of the study,
17 when the study is being designed, you are looking
18 at what is clinically meaningful.

19 When you are powering a study for survival,
20 the PFS will have more than enough power to show a
21 very small difference. So statistically
22 significant differences doesn't always mean that

1 they are clinically meaningful.

2 DR. HELTERBRAND: Okay. Let's turn to
3 determining what magnitude of progression-free
4 survival an Avastin trial must achieve to be
5 considered clinical benefit, as this would greatly
6 help us in designing a new trial.

7 Could I please see document 36? This will
8 help. And this document probably requires a
9 little -- this figure requires a little bit of
10 explanation.

11 So to orient everyone, what I've plotted
12 here are the observed hazard ratios for the E2100
13 study, the AVADO study, and the two cohorts in
14 RIBBON-1. The scale goes from a hazard ratio of
15 0.4 to a hazard ratio equal to 1, where hazard
16 ratio equals 1 means no benefit.

17 I've included the difference in the medians
18 for each study in brackets. For reference, I've
19 included the PFS hazard ratio and difference in
20 medians for the Gemzar study with paclitaxel, since
21 Gemzar is the only other recently approved non-
22 hormonal medicine for first-line HER2-negative

1 metastatic breast cancer.

2 We see that the AVADO and RIBBON-1 studies
3 were positive studies based on their prespecified
4 primary analyses, with hazard ratios less than .7,
5 far away from 1. Also, we see here that their
6 hazard ratios for RIBBON-1 and AVADO bracket that
7 seen with Gemzar.

8 So then my first question really is we see
9 that the AVADO study had a hazard ratio of 0.62.
10 Has CDER taken the position that this magnitude of
11 PFS improvement is insufficient to represent
12 clinical benefit for Avastin; and, if so, what
13 improvement between that seen in E2100 and that
14 seen in AVADO should Genentech design a new study
15 to achieve?

16 DR. PAZDUR: The decision to approve a drug
17 is not based solely on a hazard ratio. It is not
18 based solely on the median difference. It is not
19 based on a p value. But it is based on a risk-
20 benefit analysis.

21 We cannot give you a specific number or a
22 specific hazard ratio that would warrant an

1 approval or a non-approval. It has to be placed in
2 the context of a risk-benefit analysis.

3 If you're looking for what is the PFS
4 magnitude that we would be looking for, for Avastin
5 in a first-line breast setting, I would refer you
6 to the ODAC meeting of December 2007, the original
7 ODAC meeting, where your own consultants were here
8 and were exulting the benefits of a 5.5-month
9 advantage in PFS, as well as the corresponding
10 hazard ratio that went with it.

11 The bottom line is we don't approve a drug
12 on a hazard ratio. We don't approve a drug on
13 median differences. We approve the drug on a
14 clinical determination of the risks and the
15 benefits of the drug.

16 DR. HELTERBRAND: So CDER and Genentech have
17 come a long way together on Avastin, with nearly
18 3,000 patients enrolled in first-line trials. But
19 is it fair to say then that CDER is reluctant to
20 communicate what measure of magnitude it's going to
21 emphasize, such as the difference in medians or the
22 hazard ratio, as well as what magnitude of

1 improvement it will need to achieve for CDER to
2 conclude it provides clinical benefit?

3 DR. PAZDUR: We are not reluctant to specify
4 a specific number. However, it is impossible to do
5 without looking at it in the context of the
6 toxicities and the safety profile of the drug.
7 Here, again, we do not approve a drug on a hazard
8 ratio. We approve the drug on the clinical
9 judgment of a risk-benefit decision.

10 DR. HELTERBRAND: So in line with that, when
11 did CDER inform Genentech that it was going to
12 choose the measure to be emphasized, as well as the
13 magnitude of improvement that needed to be achieved
14 in the confirmatory studies?

15 DR. KEEGAN: As Genentech is aware, the
16 confirmatory studies had completed enrollment and
17 there was no possibility for us to affect the
18 results of those trials. Therefore, there was
19 really no point in commenting on it. You agreed to
20 provide us the data and we agreed to look at it.

21 DR. HELTERBRAND: Since that time, though,
22 in the summary documents we've seen, the point has

1 been that if we see the same magnitude of effect in
2 a subsequent trial, as E2100, is that based on the
3 median difference -- difference in medians or is
4 that based on the hazard ratio? Is that your
5 position?

6 DR. PAZDUR: Here, again, the decision to
7 approve a drug is based on not a median, not a
8 hazard ratio, but on a risk-benefit decision. I
9 believe if we had data that confirmed either the
10 median or the hazard ratio of the original E2100,
11 we would not be here today.

12 The situation here is, again, it's a
13 clinical decision that we're making as far as a
14 risk-benefit decision, not simply looking at a
15 hazard ratio.

16 DR. HELTERBRAND: So I have one last
17 question then. So can I confirm then that for
18 Avastin plus paclitaxel, CDER is requiring a more
19 impressive progression-free survival hazard ratio,
20 and median difference for that matter, than what
21 was seen for Gemzar plus paclitaxel in order to
22 conclude --

1 DR. PAZDUR: You cannot make that --

2 DR. HELTERBRAND: -- Avastin provides
3 clinical benefit?

4 DR. PAZDUR: No. I would totally disagree
5 with you on that point. As I stated before, for
6 the fifth time, we are not approving a drug on a
7 hazard ratio. We are approving it on a risk-
8 benefit ratio and there are major differences in
9 the toxicity and side effect profile of gemcitabine
10 and Avastin. Gemcitabine does not have a black box
11 with almost a -- what percent perforation was on
12 it; 1.2 percent -- and other toxicity profiles.

13 Here, again, there is no such thing as an
14 absolutely safe drug, but one has to take a look on
15 an individual basis of the risk-benefit of that
16 drug.

17 DR. HELTERBRAND: Thank you.

18 MR. SCHMIDT: Let me follow-up on some of
19 the quality of life points that were made. Are
20 there any patient-reported outcome endpoints for
21 metastatic breast cancer that CDER has determined
22 to be meaningful and valid to enable a labeling

1 claim in metastatic breast cancer?

2 DR. KEEGAN: I can't answer specifically for
3 metastatic breast cancer. However, the agency has
4 looked at use of pain endpoints for prostate cancer
5 and has certainly considered symptomatic relief,
6 carefully collected in a placebo-controlled trial,
7 as being a potential way to demonstrate that the
8 patients have achieved benefit or that the changes
9 in tumor size or delay in time to progression might
10 in some concrete way benefit patients.

11 MR. SCHMIDT: So I think we're on the same
12 page, but to make sure we are. Have there been any
13 approvals that CDER can point to in the metastatic
14 breast cancer area based on quality of life data?

15 DR. PAZDUR: No.

16 MR. SCHMIDT: In 2006, Genentech met with
17 CDER regarding the RIBBON-1 study, and I'd like to
18 show you CDER's minutes from that meeting,
19 document 8, please. If we look at the top, we see
20 the FDA letterhead. We see the date February 8th,
21 2006, Type B meeting minutes from a teleconference
22 on January 10th, 2006.

1 I'd like to look at the second page of this
2 document, and if we could go to the second
3 paragraph up from the bottom of the page, where it
4 says "discussion" up there. Let's blow that up.

5 This is a discussion of the RIBBON-1 study.
6 Is the panel familiar with this document?

7 DR. KEEGAN: Yes.

8 MR. SCHMIDT: The specific question I'd like
9 to ask is there's a discussion in this paragraph
10 and elsewhere in the document about using different
11 chemotherapy arms in the study, which is how, in
12 fact, the study was designed, as we saw during
13 CDER's presentation.

14 CDER concludes by saying, "FDA understands
15 that the treatment effect will vary according to
16 the chemotherapy regimen used. However, the
17 treatment effect must be efficacious for the
18 combinations of bevacizumab and Avastin and
19 chemotherapy used."

20 Am I correct in understanding from that
21 quote that the FDA recognized -- and I'm reading
22 from the quote -- that "the treatment effect will

1 vary according to the chemotherapy regimen used"?

2 DR. KEEGAN: The context of this discussion
3 occurred following the results of the AVF2119g
4 trial, where we were aware that there was no
5 evidence of a benefit demonstrated in a large,
6 randomized, well conducted study in combination
7 with capecitabine for reasons that, at that time
8 and to the present, remain unexplained. Therefore,
9 FDA requested that there be sufficient numbers of
10 patients studied to independently evaluate that
11 cohort.

12 Other than that, FDA has only asked that
13 there be exploratory analyses conducted to look at
14 the consistency of the treatment effect, assuming
15 that it will not be identical, but certainly not
16 dissimilar, not contradictory, between one
17 chemotherapy partner and another, and this is just
18 a prudent means of exploration, as we do many
19 subset analyses.

20 MR. SCHMIDT: Exploration recognizing that
21 there could be differences in the treatment effect
22 based on the chemotherapy partner, and, in fact,

1 that's what had been seen in 2119 versus E2100.

2 DR. KEEGAN: To clarify, we don't know why
3 that treatment effect was different. Our
4 interpretation is that, in fact, the treatment
5 effect may have been -- has been overestimated by
6 E2100 and is probably down to the lower end.

7 The actual treatment difference at medians
8 was 0.7 months, relatively close to what we saw
9 with the AVADO trials. So it may be simply that
10 there are smaller effect sizes and the trial
11 couldn't identify it, but we don't know that it is
12 the chemotherapy partner, per se, or that Avastin
13 is not effective or effective in a particularly
14 strong way when given to patients in combination
15 with chemotherapy for metastatic breast cancer.

16 MR. SCHMIDT: At the time of this document,
17 though, CDER wanted to test the possibility that
18 the treatment effect may vary according to
19 chemotherapy regimen; correct?

20 DR. KEEGAN: We wanted that possibility
21 tested.

22 MR. SCHMIDT: Okay. And that's what the

1 RIBBON design was set up to do, the RIBBON-1
2 design.

3 DR. KEEGAN: For the capecitabine cohort
4 only.

5 MR. SCHMIDT: And is that why, also, when
6 CDER approved Avastin based on E2100, it limited
7 its approval only to paclitaxel, because that's the
8 only place where the efficacy data existed?

9 DR. KEEGAN: That was the only place where
10 both the efficacy and safety data existed.

11 MR. SCHMIDT: Okay.

12 Let me ask you about another point,
13 Dr. Keegan, that you mentioned in your
14 presentation. I put this out to the panel, but it
15 came up in your presentation. Let's call up
16 document 22, which is, again, the office director's
17 review memo from February 21st, 2008.

18 If we look at the second page of this
19 document, we see there's a heading about a third of
20 the way down the page that says "AVADO trial,"
21 right above there, right above that paragraph. And
22 then in the paragraph right below -- actually, the

1 last paragraph on the page, this section discusses
2 the AVADO study.

3 This paragraph, in particular, cites the
4 median PFS difference that was demonstrated in the
5 AVADO study, and it shows that there was a .8-month
6 median PFS difference as the final PFS difference,
7 as we know, from the final data in the AVADO study.

8 It goes on to reference -- before talking
9 about median PFS, it talks about the hazard ratios,
10 highly statistically significant. It goes on to
11 discuss the objective response rate, and then it
12 goes on to say data is immature regarding 2(a)
13 survival analysis, with less than 20 percent of
14 patients having an event on either arm, no
15 characterization of what the survival data shows.

16 My question is this. When CDER received
17 this document showing a final median PFS difference
18 of .8 months, at any point in time, did CDER say to
19 Genentech that that difference in median PFS would
20 be insufficient to support approval for Avastin?

21 DR. KEEGAN: I would have to go back and
22 check records on our totality of communications. I

1 can't answer that.

2 MR. SCHMIDT: I only get to question you
3 today. So is there anything you can point me to
4 today where CDER said to Genentech -- AVADO became
5 a confirmatory trial for E2100; correct?

6 DR. KEEGAN: Correct.

7 MR. SCHMIDT: So in accepting AVADO as a
8 confirmatory trial, was there any point in
9 time -- Genentech had the understanding that CDER
10 was accepting the hazard ratios as proving the
11 magnitude of benefit.

12 Did CDER say at any point in time that you
13 can point me to here, that a .8-month median PFS
14 difference would not be enough?

15 DR. PAZDUR: Let me put this in context.
16 When we were making the decision on the E2100
17 trial, we were aware that there was going to be an
18 announcement of two trials, the RIBBON-1 trial and
19 the AVADO trial. Before we wanted to make a
20 decision on that, we asked for top-line results of
21 the AVADO trial, because it was the one that was
22 most mature. I believe a series of 20-odd slides

1 came to us with top-line data. We considered this
2 data very premature.

3 Our purpose in requesting this data was not
4 to review it. We did not know the status of the
5 review as far as would this information hold up
6 under FDA review. It simply was to ask if the
7 trial was going to be reported out as a negative or
8 positive study.

9 Since the controversy of the E2100 decision
10 was going to be announced, we did not want to go
11 out on a limb when shortly thereafter, potentially,
12 a negative trial was going to be announced.

13 I believe these were also the slides that
14 you showed a potential hazard -- I mean, an
15 analysis of overall -- of survival, I should say,
16 with a p value that was circled that was pointed in
17 a statistically significant lean, so to speak.

18 Here, again, I think it's an issue that we
19 have discussed at the original December 2007 ODAC
20 meeting, the need for a magnitude to be
21 demonstrated here.

22 The bottom line is we are looking -- and

1 Genentech knew this -- for a clinical benefit to be
2 demonstrated in one of these trials or in any trial
3 that they chose to give us for an accelerated
4 approval confirmation. All we wanted was one
5 single trial to show a clinical benefit here, and
6 they could select anything they want or come up
7 with new studies.

8 MR. SCHMIDT: Well, I appreciate that
9 context. Let me come back to my question. The
10 company thought that AVADO was clinical benefit.
11 My question is, at any point in time, having this
12 data in hand, having accepted AVADO as a
13 confirmatory trial, did CDER say to Genentech that
14 .8-month median PFS difference will not be enough
15 to support approval?

16 DR. PAZDUR: I do not believe we did that.

17 MR. SCHMIDT: Thank you.

18 I hope you have a sense from the comments
19 and from some of our questions how the company has
20 struggled to understand what the approval standard
21 is.

22 Is there any clarity you can give us on that

1 today in terms of the median PFS showing that would
2 be required to support full approval?

3 DR. PAZDUR: As I said, the approval process
4 is not about a median PFS; it's about a risk-
5 benefit decision. At the end of the day, you have
6 to show a clinically meaningful impact in a risk-
7 benefit analysis.

8 So a magnitude of the PFS change has to be
9 viewed in the context of the safety profile of the
10 drug, the context of the disease setting, existing
11 therapies of the drug, the performance status of
12 patients, et cetera, the other clinical aspects
13 that would come into play here. So for me to give
14 you a number of a hazard ratio would be impossible
15 to do.

16 MR. SCHMIDT: Let me ask some questions
17 about the standards that apply in this context.
18 There was a legal presentation at the beginning,
19 and I'd like to follow-up on that by asking about
20 CDER's understanding of the standards and the
21 authority that the FDA has under the accelerated
22 approval decisions.

1 Do you agree that FDA has the discretion to
2 decide that withdrawal may not be appropriate, even
3 if the confirmatory trials for some reason fail to
4 confirm clinical benefit, if there is still a
5 sufficient showing of clinical benefit from the
6 data that supported approval in the first place?

7 Does CDER have that discretion?

8 MS. BRANDEL: The statute and the
9 regulations authorize CDER to withdraw approval
10 under specified circumstances. They say that we
11 may withdraw. However, it's the view of the CDER
12 scientists that two of those criteria are met here,
13 which means that our standard -- we've met the
14 legal standard for withdrawal.

15 MR. SCHMIDT: And, Ms. Brandel, I'm trying
16 to understand CDER's conception of that standard.
17 You just referred to CDER "may" withdraw. Would
18 you agree that CDER has discretion, where CDER
19 thinks it's appropriate, to determine that
20 withdrawal may not be appropriate, even if a
21 confirmatory trial for some reason doesn't truly
22 confirm, or is withdrawal mandatory in all

1 circumstances?

2 MS. BRANDEL: No. It's the former.

3 MR. SCHMIDT: There is discretion.

4 MS. BRANDEL: Yes.

5 MR. SCHMIDT: Okay. Could you give us
6 guidance as to when exercise of that discretion
7 would be appropriate?

8 MS. BRANDEL: We have said before that our
9 regulatory approach has to be governed by the
10 unique factors of each particular case and that
11 some of the factors that CDER will consider are the
12 benefits of the drug, the risks of the drug,
13 whether a drug is the only therapeutic option
14 available for a disease, and perhaps even the
15 reasons why post-approval studies did not confirm
16 clinical benefit.

17 MR. SCHMIDT: Will CDER also consider maybe
18 this falls under the point about the only therapy?
19 Will CDER also consider the generally unmet medical
20 need and the disease state?

21 DR. JENKINS: I think we consider a lot of
22 factors, as Ms. Brandel just mentioned, including

1 the factors that she mentioned. Whether this is a
2 continuing unmet medical need is something we would
3 consider.

4 I think we also consider how much data are
5 available. In this case, I don't know if we've had
6 a case like this before where we've had 3,500
7 patients studied in the total database for the
8 indication. So we also have to look at the
9 magnitude of the data available to inform the
10 benefit-risk decision for the particular drug.

11 MR. SCHMIDT: The European Medicines Agency
12 has retained full approval for Avastin with
13 paclitaxel. The National Comprehensive Cancer
14 Network continues to endorse Avastin with
15 paclitaxel. We are not suggesting nor have we ever
16 suggested that CDER is bound by those
17 determinations, but my question regarding those
18 determinations is this.

19 Does CDER agree that the EMA determination,
20 which I think we would agree reflects a different
21 view of the data than CDER has reached, and the
22 NCCN determination reflect reasonable alternative

1 views of this dataset?

2 DR. JENKINS: We made our decision
3 independent of other decisions that may have been
4 made by EMA or the NCCN. We looked at all the data
5 available and made our decision based on the
6 benefits and the risks of the drug. We had
7 conversations with EMA about their thinking about
8 the data. I'm not aware that we've had any
9 conversations with the NCCN about their
10 recommendations. As I understand it, that's a
11 practice guideline, that's not a regulatory body
12 that's making a decision based on the standards of
13 law and the data.

14 We acknowledge that we reached a different
15 conclusion about the data than EMA. That's not
16 unusual. We do occasionally reach different
17 conclusions about drugs than the EMA does, and, in
18 fact, with Avastin, in particular, we approved
19 Avastin for glioblastoma, and the EMA looked at the
20 same data and concluded that they would not approve
21 it for that indication. So it's not that rare that
22 we don't come to the same conclusion looking at the

1 same data.

2 MR. SCHMIDT: And let me come back to my
3 question. My time is short, so I'd ask for a yes
4 or no, or if you can't answer yes or no, please let
5 me know.

6 But my question is, simply, recognizing the
7 EAM came out at a different place, recognizing that
8 NCCN came out at a different place, does CDER
9 recognize those as legitimate alternative views of
10 the data?

11 DR. JENKINS: Those are different judgments
12 that have been made by different groups of
13 individuals. As I said, we made our decision
14 independent of others based on the data we had in
15 front of us and all the factors that we've talked
16 about today.

17 MR. SCHMIDT: Can you answer whether you
18 think those are legitimate alternate views of the
19 data, reasonable alternate views of the data?

20 DR. JENKINS: Well, I think the EMA is a
21 recognized regulatory body. We have a lot of
22 interactions with EMA. We don't question that they

1 reached a different decision than we did. As I
2 said, we don't always reach the same decisions as
3 EMA and, as I pointed out, we reached different
4 decisions on breast cancer for Avastin and for
5 glioblastoma for Avastin.

6 MR. SCHMIDT: Okay. Let me close by asking
7 a final line of questions. I hope you would agree
8 that in the time since the 2010 ODAC and since
9 CDER's action following the 2010 ODAC, Genentech
10 has looked for ways to address the concerns that
11 CDER has raised in a way that would keep Avastin
12 available for patients, and that includes going
13 into the ODAC seeking full approval across a
14 variety of chemotherapy partners to only seeking to
15 retain accelerated approval with paclitaxel.

16 That includes proposing a specific
17 confirmatory study designed to truly replicate
18 E2100 in terms of being a paclitaxel study, with a
19 biomarker component. And that includes being open
20 to and discussing the possibility of label changes
21 that would address or attempt to address the points
22 that CDER has raised regarding Avastin, both the

1 efficacy data and the safety data.

2 Given the high unmet medical need for this
3 disease and the many supporters of Avastin, are
4 there other steps that CDER has considered and
5 raised with Genentech designed to try to keep this
6 medicine available for patients with metastatic
7 breast cancer?

8 DR. JENKINS: I think we reviewed the data
9 and decided that the benefits don't outweigh the
10 risks, which is the standard for approval.

11 I'd have to turn to my colleagues to see if
12 there have been other discussions. But I think we
13 concluded, as we issued in the December 16th
14 decision, that the benefits don't outweigh the
15 risks, and that's the statutory and legal standard
16 for approval. So I'll stop there.

17 MR. SCHMIDT: If I may ask one short follow-
18 up question. Is there any proposal that anyone on
19 the panel can point us to that CDER has considered
20 for keeping this medicine available for metastatic
21 breast cancer patients?

22 DR. KEEGAN: No.

1 MR. SCHMIDT: Thank you.

2 **Questions by Advisory Committee and**
3 **Presiding Officer**

4 DR. MIDTHUN: Thank you. That concludes
5 that portion. And now we'll move to the portion
6 where the advisory committee and I can ask
7 questions of the CDER presenters. And if you would
8 just indicate who would like to speak. Dr. Wilson?

9 DR. WILSON: Yes. Thank you. I have
10 several clarifications and one question.

11 One clarification I would like is regarding
12 the lack of approval of only one -- or the absence
13 of approval except for one drug in HER2/neu
14 negative upfront breast cancer of the last 30
15 years.

16 I was a little bit confused by that, because
17 the recognition of HER2/neu negative breast cancer
18 as a clinical entity has really only been known for
19 approximately one decade. So how could FDA be
20 approving drugs for a biologic entity that did not
21 exist more than about 10 years ago?

22 DR. KEEGAN: That's why I said that this

1 drug was somewhat in a class by itself, because, in
2 fact, this was, I think, the first studies that we
3 had received where the eligibility criteria
4 specifically excluded patients who were HER2
5 positive.

6 DR. WILSON: So just to be clear, then,
7 the question that only one drug has been approved
8 in the last 30 years isn't really relevant, because
9 for most of that time, this has not been a
10 recognized group.

11 My second question regards the toxicity that
12 we saw in the presentation of their being equal or
13 maybe, in some cases, slightly higher percentages
14 of death in the non-Avastin group. And I was a
15 little bit concerned about the lack of recognition
16 in that data, at least in terms of the questions,
17 that may be driven specifically by the actual drug
18 itself.

19 So inherent in the questions that I heard to
20 you, it sounded as though that as long as the
21 overall death rates are equivalent between two
22 arms, that one would ignore the fact that a drug

1 could specifically cause deaths such as those
2 associated with lethal hemorrhage or something like
3 that.

4 DR. KEEGAN: Well, I think, as Dr. Pai-
5 Scherf said, she definitely considered the deaths
6 that were attributable, as well as -- I'd like to
7 say that the focus on deaths is highly limiting. I
8 think we considered the totality of the data as we
9 received it, which, in E2100 and in RIBBON-1, were
10 actually somewhat limited to only serious or life-
11 threatening events. So we looked at everything,
12 not just deaths.

13 DR. WILSON: Right. So, therefore, it is
14 very critical to recognize that there can be drug-
15 specific deaths and to look at the totality of
16 deaths on both sides doesn't give you the real
17 assessment of the risk-benefit.

18 My final question regards the relative
19 timeline for when the E21 trial was brought forward
20 for approval. One generally does not think of the
21 cooperative groups as the venue through which a
22 drug company is going to be specifically designing

1 and running clinical trials for regulatory
2 approval, but the cooperatives may be doing them
3 for academic or clinical reasons.

4 So I'm trying to get a sense of when the
5 AVADO and the RIBBON trials were running relative
6 to the E21. Were these trials running and were
7 they the drug company trials that were really the
8 ones that Genentech was using to try to get
9 regulatory approval and there was a fortuitous
10 positive result from the E21 trial and that's why
11 that was brought forward?

12 DR. KEEGAN: So, actually, the chronology
13 pre-dates both E2100 and AVADO and RIBBON-1. The
14 original trial that Genentech identified as the
15 basis for seeking an approval in metastatic breast
16 cancer was AVF2119g. While that was ongoing and
17 prior to the study results being released, we were
18 made aware of Genentech's interest in the E2100
19 trial, which was also ongoing. So after the
20 negative results of AVF2119g, E2100 is identified
21 as their lead trial.

22 We were also made aware of the RIBBON trials

1 during their development. But the AVADO trial was
2 not brought to our attention until approximately
3 the time of the ODAC, nearing the end of the review
4 of the original efficacy supplement, and that was
5 not a trial that we were involved with or
6 considered in any way as part of the development
7 program, at least under the U.S. approval.

8 DR. WILSON: Then my final comment would be,
9 is it fair to say that, in general, the intent of
10 cooperative group trials really don't focus on
11 regulatory approval, but they are designed and
12 their quality assurance, et cetera, is really at a
13 different standard? So is it fair to say that they
14 are not really your mainstay for regulatory
15 approval, in general?

16 DR. PAZDUR: I think the E2100 is a very
17 good example of what happened at that time.
18 Obviously, a CR letter went out because there were
19 significant problems with that trial in terms of
20 missing data, et cetera, and Lee could go over it
21 in detail, if you wish.

22 We've worked with the cooperative groups in

1 earnest to emphasize that, please, identify studies
2 prospectively, if you're going to bring them in, so
3 we can ensure the adequate safeguards; i.e., end of
4 phase 2 meetings, special protocol assessments,
5 discussion of what data is needed, prospective
6 evaluations of radiographic endpoints being
7 stipulated in the protocols.

8 This occurred early, obviously. This trial
9 was initiated in --

10 DR. KEEGAN: 2001, I think.

11 DR. PAZDUR: 2001. So it really was outside
12 of where we really started looking at cooperative
13 trials. But the problems that we had with E2100
14 were really very reflective of a study that was
15 done not for regulatory purposes, but really for
16 some type of publication, et cetera.

17 Lee, I don't know if you want to comment on
18 the problems that you saw with E2100 right from the
19 beginning.

20 DR. PAI-SCHERF: The data collection was
21 very poor. At the time the study was designed,
22 Avastin was not even approved, and they did not

1 collect the grade 1 and 2 toxicities and adverse
2 events leading to drug discontinuation in
3 laboratory. Even ECOG performance status was not
4 collected in this study. So we had a lot of
5 insecurities concerning the data.

6 DR. WILSON: So the regulatory rigor of that
7 trial was not really up to top drawer; correct?

8 DR. PAI-SCHERF: That's correct.

9 DR. WILSON: Thank you.

10 DR. MIDTHUN: Dr. Balis?

11 DR. BALIS: I'm going to ask this question
12 tomorrow and see if we get the same answer. But we
13 talked a lot about the data, and sometimes subtle
14 differences or differences in outcomes of studies
15 can be related to subtle differences in patient
16 populations who go on those trials.

17 In your review of this study, either the
18 eligibility criteria or the patient
19 characteristics, is there anything that you noticed
20 in looking at that data that could account for
21 differences in outcome?

22 One of the reasons I ask is because if you

1 look at the outcome in the control groups, granted,
2 sometimes the chemotherapy was somewhat different,
3 there were some pretty significant differences in
4 the control population outcome as well as the study
5 population. And these trials were done in multiple
6 countries and sometimes in different countries
7 going across.

8 DR. PAI-SCHERF: Can I have slide 56 of the
9 backup deck? These slides give a summary of the
10 key demographic characteristics.

11 DR. PAZDUR: Closer to the microphone.

12 DR. PAI-SCHERF: Oh, sorry. Key demographic
13 characteristics and prior therapy. Across the
14 three studies, the median age was around 55. The
15 majority were white. Forty percent or more had
16 more than three metastatic sites. And the E2100
17 had 30 percent triple negative, while the other
18 studies, around 22, 23, 24.

19 In terms of previous therapy, between 38 to
20 50 percent had received prior hormonal therapy, and
21 these were for hormone receptor positive patients,
22 and then 36 to 45 received hormonal therapy as

1 metastatic.

2 In terms of adjuvant chemotherapy, as you
3 can see, 45 percent in the taxane-anthracycline had
4 received adjuvant chemotherapy, while in the
5 capecitabine arm, 72 percent had received adjuvant
6 chemo.

7 If you break it down to the type of adjuvant
8 chemotherapy, in the E2100, 20 percent had received
9 prior taxane, while in the capecitabine cohort,
10 double of that, 40 percent had received prior
11 taxane. And the prior anthracycline is shown
12 there, 50, 30, 63, and 31 percent in the
13 anthracycline.

14 In terms of -- well, someone brought it up
15 about this group of patients have no other choices.
16 And as you can see, a large number of patients had
17 not received prior taxane. Another half had not
18 received prior anthracycline, which are considered
19 the most effective agents we have for these
20 patients.

21 DR. MIDTHUN: Please, Dr. Freedman.

22 DR. FREEDMAN: Thank you. I have a question

1 about the taxane refractory population in the E2100
2 study. Assuming that those patients who had a
3 treatment-free interval of, say, less than
4 12 months, which they were included in the study,
5 was there any difference between the arms in terms
6 of the frequency of the patients who were likely to
7 be more taxane-resistant as a result of the use in
8 the adjuvant setting in a shorter timeframe?

9 I don't know if you've got that information.
10 Maybe it's a question we should bring up tomorrow,
11 but if you have it --

12 DR. PAI-SCHERF: I don't have data in hand
13 right now. We will get it to you.

14 DR. FREEDMAN: I have another. And the
15 other question relates to the indications that are
16 approved in the label, attached to the label. We
17 all agree that that's very critical both for the
18 physicians and for the patients who they treat.

19 Given the results now that we have of
20 several trials, does society have the same level of
21 confidence in the information that's currently
22 approved in terms of accuracy with regard to -- not

1 with regard to toxicity, because we accept the fact
2 that that hasn't changed, but with regard to
3 efficacy?

4 In other words, the docs, potentially the
5 concerns about the earlier trial, E21, and how it
6 was done and the subsequent trials not showing the
7 same degree of efficacy, is this information that
8 physicians and patients should have? Of course,
9 it's not in the current label.

10 So I think that's the one question. Then I
11 have a related one to that.

12 DR. KEEGAN: With regards to the dose of
13 Avastin, it, I believe, did differ across the
14 trials, but the average dose, weekly dose, is
15 usually what was targeted, something on the order
16 of 5 milligrams per kilogram per week. So it's
17 either 10 every two weeks or 15 every three weeks,
18 that sort of thing.

19 DR. FREEDMAN: So, actually, what I'm
20 getting at is now you've got the results of the
21 confirmatory trials that were supposed to be
22 confirmatory, but they were not, so you do you

1 think that the label indication is still accurate
2 in terms of its presentation of efficacy and
3 toxicity?

4 DR. KEEGAN: No. We don't think it's
5 accurate. It's listed as being safe and effective,
6 and that is no longer our position.

7 DR. FREEDMAN: That's what I wanted to be
8 sure of. Another question is -- and this was
9 raised in the public session. People asked those
10 patients who were already on treatment and who are
11 getting benefit or they feel they're getting
12 benefit and their physicians feel they're getting
13 benefit.

14 Is there any mechanism by which the approval
15 could remain for those patients who are already on
16 treatment and experiencing benefit, even if the
17 intent is to remove the label indication?

18 DR. JENKINS: I'll try to address that. As
19 you heard, our view is that the benefits no longer
20 outweigh the risks for this drug and, therefore,
21 the standards for having this indication in the
22 label no longer exist, and that's what led CDER to

1 recommend withdrawal.

2 The decision now rests with the
3 Commissioner. If the Commissioner should uphold
4 CDER's decision, I guess that would be the point to
5 talk about any transition provisions for those
6 patients who are already on the drug once the
7 indication is withdrawn.

8 DR. MIDTHUN: Any other questions? Yes,
9 please, Dr. Curt.

10 DR. CURT: Just a question of clarification
11 to the agency. If an improvement in symptoms and a
12 lack of alternative therapeutic options are two
13 reasons for considering PFS as an approvable
14 endpoint, does that mean that PFS is less robust in
15 patients who are getting frontline therapy than in
16 patients with refractory disease?

17 DR. PAZDUR: Yes. We, obviously, look at
18 the disease setting. And when you have a
19 first-line setting, we would expect a much more
20 robust finding.

21 Obviously, in looking at a situation where
22 we're dealing with a very refractory disease

1 population, with few therapeutic options available
2 to them, there probably would be a greater degree
3 of leniency in looking even at smaller PFS values
4 to consider truly an unmet medical need.

5 This issue of an unmet medical need I feel
6 really needs to be addressed, also. When we use
7 that term in a regulatory context, what we're
8 generally referring to is no available therapy.
9 And I don't think anybody here at this time would
10 say first-line metastatic breast cancer has no
11 available therapy. And by available therapy, I'm
12 talking about not only approved drugs, but drugs
13 that are used commonly by physicians, such as CAF,
14 you name it, Taxotere, Taxol, you name it, those
15 drugs are available for a first-line therapy. In
16 fact, paclitaxel is available therapy.

17 DR. CURT: Thank you.

18 DR. MIDTHUN: Yes, Dr. Sekeres.

19 DR. SEKERES: Just following up on that a
20 little bit. There's no line in the sand that the
21 FDA can draw about what's an acceptable PFS or
22 hazard ratio, because it's a risk-benefit analysis.

1 Following up on what Dr. Curt just said, an
2 unmet medical need and unavailability of other
3 options for an at-risk population, like metastatic
4 breast cancer, would also figure into that
5 calculus; correct?

6 DR. PAZDUR: Yes.

7 DR. SEKERES: Yes. And that calculus then
8 may change over time.

9 DR. PAZDUR: Correct.

10 DR. SEKERES: So a bar, if we imagine a bar,
11 there is no absolute bar, that may have been
12 acceptable 15 years ago or seven years ago may
13 change as other therapies become available and are
14 studied, whether on label or off label.

15 DR. PAZDUR: Correct. We have attempted to
16 give consistent advice to sponsors and our advice
17 is the following. Everyone would prefer to see a
18 survival advantage in patients with breast cancer.
19 So we would ask the sponsor usually to power a
20 trial for overall survival, even if they plan on
21 looking at progression-free survival.

22 We believe if you really never look and

1 don't have adequate numbers to look at overall
2 survival, we will really lose out in the long run
3 and never identify drugs that have an overall
4 survival effect.

5 So our current approach is please power
6 these trials for overall survival. We are aware of
7 the nuances that are associated with the
8 interpretation of progression-free survival and
9 they include, as we've had multiple discussions
10 with the committee on, the accuracy of measurement,
11 missing scans, et cetera, and, also, the
12 subjectivity of the interpretation of clinical
13 benefit.

14 So to really have a trial that has the
15 ability to look at both endpoints is really what
16 we're really advocating sponsors do at this time.

17 DR. SEKERES: I'm sorry. One final
18 question. We've discussed before on this committee
19 when PFS can be acceptable, at least in our
20 interpretation, and have brought up patient-
21 reported outcomes. So in the absence of an overall
22 survival advantage, if there is a PFS advantage and

1 a patient-reported outcome advantage, then
2 potentially you could demonstrate benefit to
3 patients.

4 The E2100 study had accompanying patient-
5 reported outcome measures in the form of the
6 FACT-B, but it was an unblinded study, so it's not
7 as valid. The AVADO study also had a patient-
8 reported outcome accompanying it in the form of the
9 FACT-B, and that was a placebo-controlled study.

10 If that FACT-B with AVADO had shown some
11 sort of magnitude of difference in patient-reported
12 outcome, would that have been factored into the
13 calculus for approval?

14 DR. PAZDUR: Yes. What we were looking for
15 is one positive study here. The bottom line for
16 this drug is we wanted one positive trial. That
17 trial could have showed an improvement in overall
18 survival. It could show a clinically meaningful
19 progression-free survival; we brought the AVADO and
20 RIBBON-1 trial to the committee and there was a
21 unanimous vote that that did not exist, or it could
22 have been some quality of life measurement.

1 All we're asking for here is one trial that
2 shows clinical benefit.

3 DR. SEKERES: Thank you.

4 DR. MIDTHUN: Dr. Logan, and then
5 Dr. Wilson.

6 DR. LOGAN: Two questions. The first one is
7 about Study 10. I wanted to know, how does the
8 study population compare to the E2100 study? Have
9 you looked at that, since you have indicated that
10 there may be some differences in the progression-
11 free survival of the two control groups?

12 Also, how mature is the progression-free
13 survival data in terms of how many events in that
14 particular study?

15 DR. KEEGAN: As I said, what we were relying
16 on is the Lancet articles and progression-free
17 survival was, in fact, not the primary endpoint.

18 Since it appears the study might not have
19 met its primary endpoint, I'm not really sure what
20 you can say about progression-free survival, but we
21 don't have all the details we would want on the
22 number of events. We have the estimates that are

1 quoted in the article and the confidence intervals.

2 DR. PAZDUR: We acknowledge the limitations
3 of this study. The reason why we brought it
4 forward, it was included in the Genentech studies
5 or Genentech's January submission.

6 DR. LOGAN: But it was a similar patient
7 population.

8 DR. PAZDUR: Yes.

9 DR. LOGAN: Upfront --

10 DR. KEEGAN: Right. The eligibility
11 criteria looked similar, from what we could tell;
12 from the article. It's hard to tell, but there is
13 some demographic data that suggest there might be
14 some higher proportion of patients who were ER/PR
15 positive, for instance.

16 DR. LOGAN: The second question I had was in
17 terms of sensitivity analysis for the E2100 study
18 in terms of the progression-free survival finding
19 and the impact of the missing scans and the missing
20 data in that study.

21 Did the sensitivity analysis give you
22 any -- it's been mentioned that it gave you an

1 indication that the finding was robust. Did it
2 give you any indication of potential variability
3 and the magnitude of that effect on progression-
4 free survival, and would that magnitude be
5 consistent with these other studies that we're
6 seeing?

7 DR. ROTHMANN: The sensitivity analyses that
8 were performed by the sponsor, they performed six
9 of them, had hazard ratios vary from 0.48 to 0.78,
10 and FDA did some additional analysis, sensitivity
11 analyses, I guess three of them, a couple of them
12 being sort of worst comparison types, and those
13 hazard ratios varied from 0.46 to 0.99.

14 DR. LOGAN: So there is substantial
15 variability in the magnitude of the hazard ratio
16 that is consistent, in fact, with these studies.

17 DR. ROTHMANN: Yes. Certainly, there is a
18 wide variability in what these sensitivity analyses
19 do.

20 DR. MIDTHUN: Dr. Wilson, and then
21 Dr. Portis, and Dr. Curt.

22 DR. WILSON: Thank you. I wanted to get

1 your thoughts about how we think about the delta in
2 the progression-free survival, which is, obviously,
3 going to be a large driver of the hazard ratio.

4 From a patient's point of view, in the
5 treatment arm, not the control arm, we want the
6 drug to improve the treatment arm and we want that
7 therapy to be significantly better than what we
8 have out there.

9 What is interesting is as you go through
10 these various trials, much of what seems to drive
11 the difference is the control arm, not the
12 treatment arm. In fact, the two trials that show
13 the least delta in PFS, the E2100 and the RIBBON-1
14 capecitabine trial, both have the poorest control
15 arm, whereas if you look at the variation in the
16 treatment arms, there's not really a lot of -- I
17 mean, there is some variation, but there's not a
18 lot.

19 So I'm really struck by the fact that we're
20 arguing here over E21 as being something that
21 showed a robust finding, but if you look at it
22 compared with the other trials, it's not at all

1 clear that this has added anything clinically
2 meaningful.

3 Then if you actually go to the actual
4 clinical trials and you look at the hazard ratios,
5 what you find is among the taxane-based trials and
6 you look at the impact of the Avastin, when the
7 patient has had prior Taxol, that's where you see
8 the impact of the Avastin showing up more, whereas
9 if they haven't had prior Taxol, you see much less
10 of an effect.

11 What that would tell me is that there is an
12 awful lot of the -- that the benefit here is being
13 highly driven by whether or not they are sensitive
14 to the Taxol or not, and the Taxol is an approved,
15 relatively safe agent.

16 So I just wanted to get your thoughts on
17 this, because we're talking about these
18 differences. However, it seems to me that, in many
19 ways, it's the control arm that's shifting around
20 here and not the treatment arm, and if there's not
21 a lot of difference in the treatment arm and we
22 have adequate therapy, which we clearly do with

1 some of these control arms -- docetaxel was 7.9,
2 the Study 10, I believe, paclitaxel alone, was 10
3 months.

4 So if somebody wants to comment on that.

5 DR. PAZDUR: We noticed this, too. We had
6 significant discussions about it. I don't know if
7 Raj or the statisticians want to comment on it all,
8 but this is something that caused us a lot of
9 discussion internally about what was going on with
10 these control arms, the exact reason that you're
11 pointing out.

12 DR. SRIDHARA: I think it becomes very
13 difficult to do cross-study comparisons of the
14 control arms. So when this is an observation that
15 we have seen of a control arm, it's not the same in
16 all the trials, then we have to go back and look at
17 were they the same population and were there some
18 differences either in the ER/PR positive or HER2
19 positive. These were all supposedly HER2 negative.
20 But in one of the studies, I think some HER2
21 positive patients were also included.

22 So it depends really on the baseline factors

1 and when we -- some we know that we have collected
2 and we don't know about those we have not
3 collected. So to do cross-study comparisons
4 becomes very difficult.

5 DR. WILSON: Right. So I just want to
6 finish up and say that I certainly believe that
7 Avastin has clinical activity. It's a question of
8 whether or not it's meaningful, and I think that
9 one is not necessarily doing cross-trial trump
10 comparisons when you look at the relative hazard
11 ratios within the trials with regard to whether or
12 not they're taxane-naïve or not.

13 The fact that the hazard ratios are changing
14 on that tells me that a lot of that -- that there
15 is biologic evidence that it's the Taxol that could
16 be driving some of this and that there may not be
17 the kind of benefit that one would hope when you
18 add Avastin.

19 DR. MIDTHUN: Dr. Portis?

20 DR. PORTIS: Yes. I just want to clarify
21 the findings that are presented about deaths, both
22 in terms of -- adverse events first.

1 Is it so that there are more deaths due to
2 adverse events in all the studies or in each of the
3 studies presented? And then my second question is
4 just about overall survival. Is it, in fact, true
5 that there is no improvement in overall survival in
6 any of the studies presented?

7 DR. PAI-SCHERF: Can I have number 77?

8 This slide shows the deaths on study in the
9 AVADO with the ITT population. At the time of the
10 data cutoff, it was a slightly numerically higher
11 number of deaths in the Avastin, 7.5 in the
12 15 milligram arm compared to the placebo. The
13 majority of deaths were due to disease progression.

14 If you look at the number of the adverse
15 events, the deaths caused by adverse events, they
16 were numerically equal. However, when we look at
17 individual cases, as I mentioned earlier, there
18 were two deaths probably associated -- most likely
19 to be associated with Avastin. As I showed you,
20 there was no survival advantage.

21 Next slide, please. And this is for the
22 anthracycline cohort. The difference in number of

1 deaths in the Avastin arm and the taxane cohort was
2 significant. It was 50 compared to 43. Again, if
3 you look at the number of adverse event-caused
4 deaths, they were also equal.

5 Next, please. And here I show you looking
6 carefully at individual deaths, the taxane-Avastin
7 arm compared to the taxane and placebo. They were
8 equal in percentage, but if you look at the
9 specific cases, there were three GI perforations
10 and fistula abscess, there were two sepsis, which
11 could be due to Avastin, but because the patient is
12 on taxane, it could be both, while in the taxane in
13 the placebo arm, there was sepsis, cardiopulmonary
14 arrest, and PE.

15 If you look at the anthracycline and Avastin
16 cohort, there was one GI perforation, one pulmonary
17 hemorrhage, respiratory failure, and one suicide,
18 while in the placebo arm, two neutropenia sepsis,
19 one pneumothorax, and one PE.

20 When I state in my review that the deaths
21 caused by Avastin are between .8 and 1.2 percent in
22 this study, I take into consideration the careful

1 review of these cases in the population that
2 received Avastin.

3 DR. PAZDUR: Could you put up Dr. Keegan's
4 slide 125 from her presentation, because that has
5 the pooled analysis of survival?

6 You wanted to know if there was any
7 demonstrated survival, and I think this explains
8 it. A picture is worth many, many words here.

9 The other issue that I wanted to bring this
10 slide up, there was a discussion about this one-
11 year survival rate. Please note, if one took a
12 look at another non-prespecified endpoint,
13 36 months, three years, the placebo is actually
14 doing better.

15 This is the problem with point analyses on a
16 survival curve that are un-prespecified.

17 DR. PORTIS: Thank you.

18 DR. MIDTHUN: Dr. Sekeres?

19 DR. SEKERES: I have a question. I was
20 interested by the data that were presented about
21 breast cancer-specific mortality.

22 Does the FDA have any sense of whether

1 disease-specific mortality, in general, on clinical
2 trials are accurate and whether that relatively
3 accuracy varies by whether or not you have blinding
4 in this study?

5 DR. KEEGAN: I'll let Dr. Pai-Scherf answer
6 as a reviewer who is looking more at the primary
7 data. I think we tend not to focus so much on
8 cause-specific mortality for -- cancer-specific
9 mortality unless it was a prespecified endpoint of
10 the trial, and we're really more focused on
11 understanding what are the clinical consequences of
12 the toxicity, which is why we conduct this very
13 careful review of the deaths, to determine what's
14 the ultimate severity, the irreversible outcome
15 that could occur, how severe is this and what are
16 the risks.

17 So it's really more looking at it not from
18 can we count which death is disease-related or not,
19 but making a risk assessment, we need to know which
20 deaths we think are really directly attributable to
21 having gotten the drug.

22 DR. SEKERES: So I'm guessing the FDA has

1 not had a chance to validate the breast cancer-
2 specific mortalities that were presented.

3 DR. PAI-SCHERF: Not for all cases. The
4 vast majority were reported as deaths due to
5 progressive disease. It is possible that X
6 percentage of these patients might have suffered
7 toxicities due to treatment progressed, but the
8 treatment could have hastened the death. We have
9 no way of knowing that other than reviewing
10 individual cases, individual patient reports.

11 DR. SEKERES: Thank you.

12 DR. MIDTHUN: Dr. Curt?

13 DR. CURT: As I recall, the individual
14 components of the AVADO and RIBBON trials were
15 powered to independent analysis. And within
16 RIBBON, we do have this one bit of evidence in the
17 capecitabine arm, with more than 600 patients and
18 the three-month improvement in overall survival for
19 that particular arm. And I'm wondering how the
20 agency looks at that information as the evidence
21 for clinical activity that you were seeking within
22 this suite of studies.

1 DR. KEEGAN: What we were really looking
2 at -- although I think we're comparing one positive
3 study, what we really want to do is get the sense
4 of what is the totality, what's the consistent
5 finding across studies, and that looks a little bit
6 to the high end, the AVADO things look a little bit
7 to the low end.

8 We think truth is somewhere in and around
9 there, but I don't think we wanted to rely just on
10 this one cohort, although it was independently
11 powered, but to look across the totality of the
12 data.

13 DR. MIDTHUN: I think Dr. Freedman, and then
14 Dr. Wilson.

15 DR. FREEDMAN: The point that's often made
16 is that crossover confounds studies, but, in fact,
17 in the E2100, I don't believe that patients were
18 allowed to receive Avastin. So that's, I think, an
19 important issue.

20 The other thing is I was wondering whether
21 FDA looked at whether the hazard ratio was affected
22 by not censoring patients when they continued on

1 Avastin; in other words, those patients that
2 stopped the paclitaxel because of toxicity or
3 whatever reason, but continued on Avastin.

4 Did they compare the hazard ratios for that
5 study by censoring it, censoring at that point?

6 DR. ROTHMANN: We didn't do any analysis
7 comparing subjects who sort of crossed over and
8 continued Avastin with those who did not. We
9 didn't do any such analysis.

10 DR. WILSON: I have a question about the
11 super responders. However, I did want to just
12 comment on Dr. Curt's comment about the
13 capecitabine-Avastin arm.

14 I think if one looks at this, much of the
15 delta is being driven by the fact that the control
16 arm is low, not that the treatment arm is high,
17 which gets at a little bit of what I was referring
18 to before.

19 We've heard today, and we've heard it both
20 from patients and we've heard it from treating
21 doctors, as well, that they have seen what seems to
22 be termed super responders to this drug, to these

1 drug combinations. And I think that it was
2 commented here that it's impossible to separate out
3 the contribution of the Avastin from the
4 chemotherapy simply because they're being given
5 together.

6 I will say that having been one of the
7 original developers of Taxol back in the early
8 1990s, I saw numerous patients go on for numbers of
9 years on single-agent drug.

10 However, having said that, I'm just
11 wondering, in looking, for example, at the AVADO
12 trial and looking at these progression-free
13 survival curves, I see no evidence of any
14 difference beyond 18-24 months where these super
15 responders would be, because to be a super
16 responder, you would be responding for an excess
17 period of time.

18 So I was just wondering what CDER's thought
19 is about this, because we're hearing this, and I
20 don't see it in these curves. I see something in
21 the E2100, but I think we all are questioning
22 whether or not this is being driven by an outlier

1 curve, i.e., the control arm being abnormally low.

2 DR. KEEGAN: I think the best we can say
3 about the super responders is that if you look at
4 the controlled clinical trials, we're not seeing a
5 group that looks like this. And in addition to
6 separating out the underlying treatment, there's
7 also just the patient's natural history of the
8 disease, and there's an enormous variety of how
9 patients who were diagnosed with first-line
10 metastatic breast cancer are going to do.

11 It's not like other diseases with very short
12 and very predictable courses. This is very
13 different. So in the absence of a clinical trial
14 and a control, I think we're having a hard time
15 identifying those patients, and from the survival
16 curves, from the progression-free survival curves,
17 we're just not seeing that population. And I think
18 the most compelling thing is that 2400 patients, I
19 mean, in 2,400 patients, there doesn't seem to be a
20 group emerging that's behaving differently.

21 DR. WILSON: So I guess that's kind of my
22 read, too, and I just think it's very important to

1 recognize that these agents alone can result in
2 extremely long durations of response, and simply
3 having a good outcome with the combination in no
4 way means that you wouldn't have had a similarly
5 good outcome with the chemotherapy alone.

6 It happens and it looks in these curves to
7 be happening at the same frequency, and I think
8 that's very important for patients with breast
9 cancer, because if they're being told by their
10 doctors that their excellent outcome is being
11 driven by this combination rather than by the
12 chemotherapy drug, I'm not sure that they're being
13 well served. And I think that the only way that we
14 can even address that is through looking at these
15 clinical trials, because as we've already said,
16 these drugs are not given -- the Avastin is not
17 given alone.

18 DR. MIDTHUN: I have a question just to make
19 sure I understand correctly, and I think this
20 follows-up on something that Dr. Portis asked.

21 So when you are talking about the deaths
22 that occurred that you thought were attributable to

1 Avastin, I think you were saying in those slides
2 that you showed that there wasn't a significant
3 difference in the percentage of adverse events on
4 study that resulted in death, but when you looked
5 at some of them, they were very much characteristic
6 of what you would expect to be an adverse outcome
7 of Avastin.

8 I just want to make sure I understand that.

9 DR. PAI-SCHERF: That's correct,
10 Dr. Midthun. That's correct.

11 DR. MIDTHUN: Then I had one other question.
12 In looking at the way some of these studies are
13 planned, it really appears that the statistical
14 plan and the powering of the study really is based
15 on a particular hazard ratio that you might want to
16 be able to demonstrate.

17 I noted that in some of the materials, it
18 was indicated that a number of these studies were
19 set up to be able to demonstrate a hazard ratio in
20 the vicinity of .7 to .75. And I was just
21 wondering if that was sort of a fairly routine way
22 of approaching these kinds of studies.

1 DR. SRIDHARA: Yes. At the beginning of the
2 study or before the start of the study, when they
3 are planning, they do size the study based on a
4 hazard ratio, assuming the control arm effect size
5 in some way, and coming up with what is a
6 clinically meaningful effect.

7 So based on that, it is planned. But not
8 all the times do we get to see the protocol before
9 it is started to comment on whether the effect that
10 they are sizing is clinically meaningful or not.

11 DR. KEEGAN: I just want to clarify that I
12 think the statisticians are very comfortable
13 looking at the hazard ratios, but speaking from the
14 clinical point of view, we always ask for the
15 background assumptions, what are they looking for,
16 what do they expect in the control arm, what
17 difference are they sizing the trial for, because
18 I, speaking for myself, don't think in hazard
19 ratios. It sounds like it's in the ballpark, but
20 we always ask for those underlying assumptions so
21 that we really have a better understanding and
22 don't consider the hazard ratio in isolation.

1 DR. MIDTHUN: I guess one thing that was
2 interesting in looking at the data was that you
3 had, in some cases, hazard ratios that were lower,
4 but differences in median progression-free survival
5 that were quite small. In other cases, the hazard
6 ratio was higher, but there was a higher delta in
7 the median. So it, obviously, can be a challenge.

8 Are there other questions from the advisory
9 committee members?

10 [No response.]

11 DR. MIDTHUN: I do have one last question.
12 Clearly, these are really difficult assessments in
13 terms of trying to understand the risks and the
14 benefits and weigh them against each other, and I
15 think what I've heard today is that had you seen,
16 as you said, I think, one other positive trial,
17 something where the magnitude of the impact on
18 progression-free survival was comparable to what
19 you had seen in E2100, that was what you were
20 looking for. And I recognize that it's very hard
21 to put any kind of a specific time on that, but
22 could you maybe talk about it a little bit more?

1 What you saw in E2100 was 5.5 months. So was it
2 something sort of in that vicinity?

3 Could you address that?

4 DR. KEEGAN: I think based on the
5 discussions at the December 5th, 2007 ODAC, the
6 sense of the committee was that -- I mean, there
7 were clearly some individuals who felt that PFS was
8 not good enough and that there wasn't enough, but
9 there were others who felt that that magnitude
10 might itself constitute.

11 So I think we were influenced by that advice
12 in terms of thinking this might be in the ballpark,
13 but I would say -- and as you can see from the
14 committee's vote, that was not necessarily a
15 unanimous opinion on the magnitude and how
16 beneficial it was. But there were certainly a
17 number of people who felt that it was about the
18 right size.

19 DR. PAZDUR: Then, obviously, as we've said,
20 nobody is arguing whether an effect occurs. The
21 robustness was never an issue. But because of the
22 numerous issues that we had with the trial,

1 including one trial, a fail trial, the missing
2 data, people not going to the prespecified
3 endpoint, missing scans, et cetera, we really felt
4 that there needed to be confirmation for the
5 determination of the magnitude of benefit if we
6 were going to be resting an approval on this
7 5.5 months, or if you even want to term it in the
8 hazard ratio, it didn't really matter to me, but
9 the similar effect.

10 That's what was kind of really discussed at
11 the meeting. If you really read the minutes of
12 that meeting, magnitude, magnitude, magnitude was
13 the thing that was coming up of why we should
14 approve the drug from their own consultants, and
15 our presentations were primarily we're not sure of
16 this magnitude, we're not sure of this magnitude.
17 So that was the dilemma.

18 DR. MIDTHUN: Thank you. Are there other
19 questions from the advisory committee members?

20 [No response.]

21 DR. MIDTHUN: If not, I am going to close
22 this session, and then there will be a 15-minute

1 break before the last clarifying question session.

2 Thank you. So now it is, let's say, 5 past
3 3:00. So we'll start at 3:20.

4 (Whereupon, a recess was taken.)

5 **Clarifying Questions of CDER Witnesses by CDER**

6 DR. MIDTHUN: We will begin the clarifying
7 questions for today's session, which is a 15-minute
8 session in which CDER gets to ask clarifying
9 questions of its presenters, and so we will now
10 begin.

11 MS. CARTWRIGHT: Thank you, and good
12 afternoon. We just have a few clarifying
13 questions.

14 Dr. Keegan, when you were discussing the
15 capecitabine arm of RIBBON-1, can you clarify what
16 you meant about the amount of PFS at 2.9 months,
17 please?

18 DR. KEEGAN: I think my response was that
19 the 2.9-month difference in PFS was a statistically
20 significant difference for the capecitabine arm,
21 but the 2.9-month difference in overall survival
22 was not a statistically significant difference.

1 MS. CARTWRIGHT: Thank you.

2 Dr. Pazdur, just to clarify, are you saying
3 that if another study was conducted that showed
4 either the same hazard ratio or the same amount of
5 progression-free survival seen in the E2100 trial,
6 that would be enough to confirm the magnitude?

7 DR. PAZDUR: We wanted to see both PFS and
8 hazard ratio verify the magnitude of E2100. So
9 it's "and" and not "or."

10 MS. CARTWRIGHT: There was also some
11 discussion about the indication for Avastin
12 progressed cancer.

13 Dr. Keegan, can you clarify for us what
14 other treatment options are available that are less
15 limited than the Avastin indication?

16 DR. KEEGAN: So I'm going to bring up
17 slide 99 first and then 100. So this is a listing
18 of FDA-approved drugs that are currently available
19 for treatment of patients with metastatic breast
20 cancer that are unrestricted based on HER2
21 positivity and would be considered available
22 therapy in this population.

