

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES

SECRETARY'S ADVISORY COMMITTEE
ON GENETICS, HEALTH, AND SOCIETY

Seventh Meeting

Thursday,
June 16, 2005

Grand Ballroom Salon D
Marriott Bethesda North Hotel and
Montgomery County Conference Center
5701 Marinelli Road
North Bethesda, Maryland

IN ATTENDANCE:

Acting Chair

Huntington F. Willard, Ph.D.
Director
Institute for Genome Sciences and Policy
Vice Chancellor for Genome Sciences
101 Science Drive, CIEMAS 2379
Duke University
Durham, NC 27708

Members

Sylvia Mann Au, M.S., C.G.C. (appointment pending)
Hawaii State Genetics Coordinator
Hawaii Department of Health Genetics Program
741 Sunset Avenue
Honolulu, HI 96816

Cynthia E. Berry, J.D.
Partner
Powell Goldstein Frazer & Murphy
1001 Pennsylvania Avenue, N.W., 6th Floor
Washington, D.C. 20004-2582

Chira Chen (appointment pending)
Staff Research Associate II
Life Sciences Division
Lawrence Berkeley National Laboratory
One Cyclotron Road, 84-171
Berkeley, CA 94720-8268

James P. Evans, M.D., Ph.D. (appointment pending)
Associate Professor of Genetics and Medicine
Department of Medicine
University of North Carolina at Chapel Hill
Chapel Hill, NC 27599-7624

Kevin T. Fitzgerald, S.J., Ph.D. (appointment pending)
Dr. David P. Lauler Chair in Catholic Health Care Ethics
Research Associate Professor
Department of Oncology
Georgetown University Medical Center
Building D, Suite 236
4000 Reservoir Road, N.W.
Washington, D.C. 20057

IN ATTENDANCE:

Barbara Willis Harrison, M.S.
Certified Genetic Counselor and Instructor
Division of Medical Genetics
Department of Pediatrics
Howard University College of Medicine
Box 75, 520 W Street, N.W.
Washington, D.C. 20059

Debra G.B. Leonard, M.D., Ph.D.
Vice Chair of Laboratory Medicine
New York Presbyterian Hospital, Cornell Campus
Room F715, Mailbox 79
525 East 68th Street
New York, NY 10021

Julio Licinio, M.D. (appointment pending)
Professor of Psychiatry and Medicine/Endocrinology
Neuropsychiatric Institute
David Geffen School of Medicine at UCLA
3357A Gonda Center
695 Charles Young Drive South
Los Angeles, CA 900095-1761

Agnes Masny, R.N., M.P.H., M.S.N.
Adjunct Assistant Professor of Nursing
Temple University College of Allied Health Professionals
Research Assistant and Nurse Practitioner
Family Risk Assessment Program
Fox Chase Cancer Center
7701 Burholme Avenue
Philadelphia, PA 19111

Edward R.B. McCabe, M.D., Ph.D.
Professor and Executive Chair
Department of Pediatrics
David Geffen School of Medicine at UCLA
Physician-in-Chief
Mattel Children's Hospital at UCLA
10833 Le Conte Avenue, 22-412 MDCC
Los Angeles, CA 90095

Joan Y. Reede, M.D., M.P.H., M.S.
Assistant Professor of Maternal and Child Health
Harvard School of Public Health
Assistant Professor of Medicine
Dean, Diversity and Community Partnership
Harvard Medical School
164 Longwood Avenue, Room 210
Boston, MA 02115

IN ATTENDANCE:

Emily S. Winn-Deen, Ph.D.
Vice President
Strategic Planning and Business Development
Cepheid
904 Caribbean Drive
Sunnyvale, CA 94089

Ex Officio Members

Agency for Healthcare Research and Quality

Francis D. Chesley, Jr., M.D.
Director
Office of Extramural Research, Education,
and Priority Populations
Agency for Healthcare Research and Quality
540 Gaither Road
Rockville, MD 20850

Centers for Disease Control and Prevention

Muin J. Khoury, M.D., Ph.D.
Director
Office of Genomics and Disease Prevention
Centers for Disease Control and Prevention
4770 Buford Highway, MS K-89
Atlanta, GA 30341

Centers for Medicare and Medicaid Services

James Rollins, M.D.
Centers for Medicare and Medicaid Services
7500 Security Boulevard
Baltimore, MD 21244-1850

Department of Commerce

Ellyn Beary
National Institute of Standards and Technology
100 Bureau Drive
Gaithersburg, MD 20889

IN ATTENDANCE:

Department of Defense

Colonel Martha Turner, USAF NC, Ph.D.
USAF Surgeon General's Consultant for Medical Ethics
U.S. Department of Defense
Preventive Medicine and Biometrics
International Health Specialist Program
Uniformed Services University
4301 Jones Bridge Road
Bethesda, MD 20814

Department of Veteran Affairs

Sherrie Hans, M.D.
U.S. Department of Veteran Affairs
810 Vermont Avenue, N.W.
Washington, D.C. 20420

Food and Drug Administration

Joe Hackett
Food and Drug Administration
2098 Gaither Road, MSC HFZ 440
Rockville, MD 20850

Health Resources and Services Administration

Sam Shekar, M.D., M.P.H.
Assistant Surgeon General
Associate Administrator
Bureau of Primary Care
Health Resources and Services Administration
4350 East-West Highway, 11th Floor
Bethesda, MD 20814

National Institutes of Health

Alan E. Guttmacher, M.D.
Deputy Director
National Human Genome Research Institute
National Institutes of Health
Building 31, Room 4B09
31 Center Drive, MSC 2152
Bethesda, MD 20982

IN ATTENDANCE:

Office for Civil Rights

Robinsue Frohboese, J.D., Ph.D.
Principal Deputy Director
Office for Civil Rights
200 Independence Avenue, S.W., Room 515F
Washington, D.C. 20201

Office for Human Research Protections

Julia Gorey, J.D.
Office for Human Research Protections
1101 Wootton Parkway, Suite 200
Rockville, MD 20852

Office of Public Health and Science

Sandra Howard
Office of the Assistant Secretary
200 Independence Avenue, S.W.
Washington, D.C. 20201

Executive Secretary

Sarah Carr
Secretary's Advisory Committee on
Genetics, Health, and Society
6705 Rockledge Drive, Suite 750, MSC 7985
Bethesda, MD 20892-7985

C O N T E N T S

	PAGE
Call to Order	
Huntington F. Willard, Ph.D. SACGHS Acting Chair	10
Current Issues in Pharmacogenomics	
Session Overview and Goals	
Emily S. Winn-Deen, Ph.D. Chair, Pharmacogenomics Task Force	11
Fundamentals of Pharmacogenomics: Origins, Definitions, and Concepts	
Richard M. Weinshilboum, M.D. Professor of Molecular Pharmacology and Experimental Therapeutics and Medicine Mayo Clinic of Medicine	20
Q&A and Discussion	38
Pharmacogenomics: The Public Health Perspective	
Robert L. Davis, M.D., M.P.H. Professor, Department of Epidemiology University of Washington School of Public Health	43
Q&A and Discussion	65
Pharmacogenomics in the Practice of Medicine	
Richard M. Weinshilboum, M.D.	76
Q&A and Discussion	97
Perspectives from Industry	
Eric Lai, Ph.D. Vice President, Discovery and Pipeline Genetics GlaxoSmithKline	114
Walter Koch, Ph.D. Vice President and Head of Research Roche Molecular Systems	127
Q&A and Discussion	138

C O N T E N T S

	PAGE
Public Comments	
JoAnne Glisson American Clinical Laboratory Association	152
Robert Yocher Genzyme	154
HHS Efforts and Future Directions in Pharmacogenomics	
Rochelle Long, Ph.D. Chief, Pharmacological and Physiological Sciences Branch National Institute of General Medical Sciences, NIH	159
Felix Frueh, Ph.D. Associate Director for Genomics Office of Clinical Pharmacology and Biopharmaceutics Center for Drug Evaluation and Research, FDA	173
Muin J. Khoury, M.D., Ph.D. Director Office of Genomics and Disease Prevention, CDC	182
Q&A and Discussion	193
Ethical, Legal, and Social Implications of Pharmacogenomics	
Patricia Deverka, M.D., M.S., M.B.E. Fellow, Center for Genome Ethics, Law, and Policy Institute for Genome Sciences and Policy Duke University	195
Q&A and Discussion	222

C O N T E N T S

	PAGE
Discussion and Next Steps for Pharmacogenomics	
Facilitators: Emily S. Winn-Deen, Ph.D. and Huntington F. Willard, Ph.D.	230
Discussion	232
Next Steps and Closing Remarks	
Huntington F. Willard, Ph.D.	260

1 P R O C E E D I N G S (8:32 a.m.)

2 DR. WILLARD: Good morning, everyone. We need
3 to start on time just in case Reed is at home watching us
4 on the Web. Good morning, Reed, and good morning
5 everyone. Welcome back.

6 The first order of important business, of
7 course, since we like to look after everyone's stomach, is
8 to remind the members and the ex officios that if you would
9 like to order lunch, you should do so at the table out
10 there next to the registration desk no later than 9
11 o'clock, and then, as yesterday, your lunches will be
12 delivered here.

13 Let me also acknowledge and welcome Jody Brown,
14 who is here from the Health Sciences Policy Division of
15 Health Canada. We're delighted to have you with us. Hope
16 you learn something, and I hope we, in turn, will have a
17 chance to learn from your activities north of the border as
18 well. So welcome.

19 Let me point out to the committee, you have in
20 front of you the clean copy of the final recommendations
21 that we voted and approved unanimously yesterday on
22 coverage and reimbursement of genetic tests and
23 services. This is simply for your information so you have
24 a clean copy to take home and look over.

25 We have another full day ahead of us. Today

1 we'll be hearing a number of perspectives on the current
2 state of the field of pharmacogenomics and the important
3 policy issues that we identified as a committee when we
4 went through our prioritization process a couple of years
5 ago. The entire day will be devoted to policy issues.

6 We have a number of outside speakers that have
7 been put together by Emily Winn-Deen and her Task Force on
8 Pharmacogenomics and, of course, our indomitable
9 staff. Bio sketches for today's speakers are found in your
10 table folders, and at this point I'm going to turn it over
11 to Emily Winn-Deen, who will lead the discussion today and
12 will begin by giving us an overview of the task force's
13 work in this area and the goals that they've identified for
14 us today.

15 Emily?

16 DR. WINN-DEEN: Thanks, Hunt.

17 We're going to start today with an overview of
18 the work that led to having this session on
19 pharmacogenomics. Pharmacogenomics was identified as one
20 of the four issues warranting in-depth study during our
21 priority session last year, and since then it's been
22 increasingly apparent that this field has the potential to
23 have a large impact on health and health care and needs to
24 be considered carefully.

25 Pharmacogenomic testing may offer more

1 individualized approach to medicine through the
2 identification of genetic variants or biomarkers that help
3 to target the appropriate pharmaceutical interventions to
4 individuals based on their molecular nature, their disease,
5 and their individual genetic variation. The field of
6 pharmacogenomics will allow further integration and
7 transfer of the human genome data from the Human Genome
8 Project into the practice of medicine.

9 There's been a lot of data on the number of
10 deaths that occur. The latest figure is about 100,000
11 deaths per year that occur due to adverse drug reaction,
12 and there is the hope that pharmacogenomics will also play
13 a role in reducing the number of deaths.

14 During our priority-setting discussions within
15 the task force, we focused on physicians' need for relevant
16 and practical advice on the application of pharmacogenomic
17 data in the clinical setting. I'd like to acknowledge the
18 task force and all the members who contributed, both the
19 folks within the SACGHS committee as well as our ex
20 officios: Kevin Fitzgerald, Chris Hook, Julio Licinio, Deb
21 Leonard, Ed McCabe, and Hunt Willard, and ex officios Susan
22 Feetham, Steve Gutman, Alan Guttmacher, and Joe Hackett.

23 When the task force first began to develop a
24 framework to guide the work of the committee, we identified
25 four areas to begin a review of the field. We wanted to

1 try to put everybody on the committee on sort of a level
2 playing field and get everyone oriented, and that's I think
3 the goal of today's session. The four areas that we
4 decided we would focus on is state of the field of
5 pharmacogenomics today, where are we with translational
6 efforts in pharmacogenomics, what are the ethical, legal
7 and social issues that this branch of genetics might raise,
8 and what is the role of government agencies, keeping in
9 mind our charter as an advisor to HHS.

10 The key translational issues that were
11 identified included regulatory issues, funding of
12 pharmacogenomic research and translational research, the
13 potential to create new orphan drugs or diseases through
14 patient differentiation via genetics. We wanted to include
15 the perspective from different sectors of both the
16 community as well as the industries that are affected by
17 this, and to try and find some cooperative approaches in
18 the spirit of public/private partnerships that might help
19 move this field forward.

20 In addition, pharmacogenomics may pose some
21 unique ELSI issues, and we wanted to make sure that we did
22 not overlook some of these, and we're most concerned about
23 not having any exacerbation of health care disparities or
24 access issues.

25 Finally, we wanted to make sure that we did a

1 good overview of what's going on already within HHS, and
2 hopefully today's discussion will give us an idea of where
3 we are today, as well as where we'd like to be in terms of
4 any gaps that we identify.

5 Prior to this session, we sent out a request to
6 the various HHS agencies and asked them two questions. The
7 first was what does your agency see as the most important
8 policy issues, concerns or voids in the field of
9 pharmacogenomics; and then from your particular agency's
10 standpoint, what are the specific questions that our
11 committee could address for each policy issue?

12 The issues identified by the agencies included
13 the following: applying pharmacogenomics knowledge in the
14 drug development process; assessing clinical validity,
15 analytical validity and clinical utility; and integration
16 of pharmacogenomics into clinical and public health
17 practice. The full summary of the input from the agencies
18 can be found at Tab 6 of your briefing book.

19 The first category was suggested by NIH, and
20 though this will remain largely a private sector endeavor,
21 primarily within the pharma industry, it's important for us
22 to understand how pharmacogenomic knowledge will be used in
23 drug development. The second category, the problem of how
24 to develop evidence-based reviews, was highlighted by four
25 agencies: CDC, CMS, HRSA, and NIH. Under integration, the

1 need to educate providers and consumers, as well as privacy
2 and promoting wide access to clinical trials and new tests
3 were noted by CDC, FDA, HRSA, and NIH.

4 In the public health arena, considerations of
5 ethnic and racial variations and the effects of diverse
6 populations, the potential use of pharmacogenomics for
7 screening purposes, and the need to monitor
8 pharmacogenomics impact were identified as important
9 issues. Again, CDC, NIH and HRSA all contributed to these
10 issues. Access and cost remain important concerns that
11 will need to be considered and addressed. The need to
12 understand the direct and indirect costs and potential for
13 reduction of overall health care costs related to
14 pharmacogenomics is important for us to try and understand
15 in a little more depth. Adequate access was the focus from
16 HRSA, while cost was highlighted by CDC, HRSA and NIH.

17 The feedback from the agencies largely
18 parallels the agencies missions and will be very
19 helpful. It was suggested that our discussion this
20 afternoon would initially focus on an explicit statement of
21 what we expect pharmacogenomics to do for people's
22 health. We welcome more explicit suggestions from any of
23 the speakers and any of the ex officios as we move forward
24 in our discussion.

25 Additional issues that were identified through

1 other outreach efforts included barriers, and these
2 additional outreach issues that we identified were done via
3 our task force discussion, as well as some conference calls
4 with key individuals within the private sector. We
5 consulted with Bill Clarke, who is the chief technology
6 officer and chief medical officer for GE Healthcare, as
7 well as with Mara Aspinall, who is the president of Genzyme
8 Genetics, and her colleagues at Genzyme.

9 The barriers that were identified by Bill
10 Clarke and really echoed by the folks from Genzyme included
11 that there are really no uniform reporting standards today
12 for pharmacogenomic assays. There needs to be an
13 appropriate approach for evaluation of the value of
14 pharmacogenomic testing. There are issues of robust
15 technology and reasonable cost that need to be addressed,
16 and whether FDA approval will be required in order for
17 reimbursement to take place for pharmacogenomic tests.

18 On that same strategy, there's really a lack of
19 clear reimbursement paths forward in terms of particularly
20 home-brew assays, and while there is a lot of data
21 available on the correlation of genetic variation with
22 different drugs, there's still not the body of data
23 required to actually give good dosing guidelines for many
24 of these drugs. So we're still one step away from being
25 able to translate it into clinical practice.

1 The other barrier was really what is the
2 catalytic event that's going to be required to move
3 pharmacogenomics out of academia and into standard clinical
4 practice? What is the driver here? Is it better
5 medicine? Is it legal liability? Really, what are the
6 issues that are going to make this happen? Because I think
7 we have good evidence in several arenas for things where we
8 understand the science, and yet the science hasn't really
9 translated into a new standard of care in the practice of
10 medicine.

11 We need further clarification from the
12 regulatory agencies on what is actually needed to drive
13 changes in drug labeling and how that's going to be
14 managed.

15 Genzyme suggested some additional strategies to
16 promote pharmacogenomics. They felt that pharmacogenomics
17 was a paradigm shift and that all key constituencies within
18 the health care system need to understand its role. Part
19 of our programming today was to try and begin to bring
20 together all of these different types of
21 constituencies. We recognize that due to time limitations
22 we were not able to have every single piece of the puzzle
23 presented to us today and that some of these things will
24 probably have to be deferred to our next meeting, but we
25 were trying today at least to make a start in bringing

1 these issues forward.

2 The other strategy that Genzyme brought up was
3 the need to encourage innovation with financial
4 incentives. So what are the financial incentives that are
5 needed in order to encourage companies, as well as
6 physicians, to move forward in the practice of this new
7 type of medicine?

8 Genzyme brought up a couple of other things
9 that they were concerned about. They felt that there was a
10 need to address both the home brew, the laboratory-
11 developed tests, as well as FDA-approved tests. To my
12 knowledge, there's only one FDA-approved test, which is the
13 Roche AmpliChip for 2D6 and 2C19. Most of the work that's
14 being done in this field today is with laboratory-developed
15 tests, and we need to recognize that and find ways to
16 address it.

17 The government, in their role as both a
18 regulatory and a payer, needs to be looking at how they can
19 put in place policies that would result in better drug
20 efficacy and improved safety.

21 So the purpose of today's session is to really
22 provide a common understanding of the fundamentals of
23 pharmacogenomics and the state of the field today, to
24 identify policy issues that will be critical to move this
25 forward, and to determine if there's a specific role that

1 this committee can play in facilitating this translation
2 into the practice of medicine. I want to remind the
3 committee that our goal is to advise HHS. We can't solve
4 all the problems of the field, but I think that there are a
5 number of agencies within HHS that are involved in this
6 field, and we need to assess whether we feel they've got
7 everything well in hand or whether there are some specific
8 recommendations that we'd like to make going forward for
9 things they could do more actively or more cooperatively
10 among the agencies.

11 So with that in mind, I'd like to give you a
12 little bit of an outline of the session today. We're very
13 pleased to have a panel of speakers who, I have to say, are
14 all experts in their field, and we greatly appreciate their
15 willingness to come and share their knowledge with this
16 committee. We're going to start with the
17 fundamentals. What the heck is pharmacogenetics and
18 pharmacogenomics? We're going to hear from the public
19 health perspective, the practice of medicine perspective
20 from both the diagnostics and the pharma side of
21 industry. In the afternoon we'll hear from the HHS
22 agencies about their issues, and finally we'll have a talk
23 on the ELSI issues.

24 At the end of this long session, I hope you're
25 all taking notes during the session because we're going to

1 have a full committee discussion about really what we
2 heard, what we would like to do as a committee moving
3 forward, and the task force is looking for guidance from
4 the committee on where you would like to see us move next
5 so that we can be prepared if we need to do some specific
6 activities in the interim between this meeting and the
7 October meeting.

8 With that, I would like to introduce our first
9 speaker, who really needs no introduction because he is, if
10 I dare say it, the grandfather of pharmacogenetics. Dick
11 Weinshilboum joins us today from the Mayo Medical School,
12 where he is presently professor of molecular pharmacology
13 and experimental therapeutics. He was intimately involved
14 with the thiopurine methyltransferase research and actively
15 teaches both pharmacology as well as pharmacogenetics
16 within the Mayo institution.

17 DR. WEINSHILBOUM: First of all, let me thank
18 the committee for the invitation. As someone who has been
19 doing this sort of stuff for decades, to be introduced as
20 -- I am a grandfather, but to be introduced that way is a
21 little disheartening early in the morning.

22 (Laughter.)

23 DR. WEINSHILBOUM: So what I thought I might do
24 to be helpful to the committee, and I think really our role
25 here is to be helpful to you, is to do pretty much what I

1 did with a group of graduate students for this talk
2 yesterday morning at about the same time. So I was asked
3 to begin with some origins and concepts, in essence a quick
4 overview of where we are.

5 Let me begin with a disclosure. I'm
6 occasionally invited, although for years I wasn't -- all of
7 a sudden I've become very popular since the FDA guidelines
8 came out. So I'm invited to pharmaceutical and biotech
9 companies, but Mayo is in the upper midwest where the
10 Scandinavians settled and were quite a socialistic
11 institution. So all of the honoraria fees do not come to
12 me. They go back to Mayo Foundation to support our
13 missions in research and education.

14 On a very serious note, there's a flipside to
15 this. I've spent my entire life in an academic
16 environment, and that's why it's so important that we have
17 Eric Lai and Walter Koch here to give you an up-close and
18 personal view from the for-profit industry side, because
19 their view will be quite different than mine.

20 I should also, in the matter of a disclosure,
21 point out that I currently have the honor to chair the
22 National Institutes of Health Pharmacogenetics Research
23 Network, the PGRN, with this little logo which you'll see
24 down in the corner of my slides, since they paid for the
25 slides, and each of these little stars represents one of

1 these centers. As of next week, Kathy Giacomini from UCSF
2 will become the next chair of that group. The stars will
3 move around a little bit, so I'll be back in Bethesda next
4 week, where my wife says I should get a condo.

5 So let's begin, sort of Pharmacogenetics
6 101. You all know that what we're talking about is the
7 study of the role of inheritance, that is who your mom and
8 dad are, in essence, in variations among individuals and
9 their response to any xenobiotic, including those that I as
10 a practicing internist write a prescription for, the
11 patient takes to the pharmacy, and takes the medication
12 thinking that I know what I'm doing. So basically drugs
13 are just a subset of xenobiotics, and we're talking about
14 genetic variation in the drug response, in the chemical
15 response phenotype.

16 In many ways this represents a confluence of
17 two revolutions, that is the genomic revolution which
18 everyone who reads Time magazine knows about, but as a
19 matter of fact I feel very strongly as a pharmacologist
20 that in the latter half of the 20th and the beginning of
21 the 21st century there has been a parallel therapeutic
22 revolution in which we have gone -- and I like to
23 demonstrate this for my medical students in this
24 fashion. This is the first edition of Goodman and Gilman's
25 textbook, 1941. I was actually around then, but rumors

1 among the male medical students to the contrary, I was not
2 reading G&G then. Here is the 10th edition. The books are
3 the same size. There's virtually nothing in this
4 book. That is, there is morphine and there's digitalis,
5 there's aspirin and sulphur drugs. But no antibiotics, no
6 antihypertensives, no antipsychotics, no
7 antidepressants. Franklin Roosevelt was president of the
8 United States and had hypertension, was treated with
9 phenobarbital, which made his doctors feel better but
10 didn't do much for his blood pressure.

11 So as a matter of fact, there has been a
12 dramatic change in the therapeutic agents which we have
13 available. I think it's been a quiet revolution, but as a
14 matter of fact it's been earth-shaking. We talked about
15 paradigm shifts in your introductory comments. Bring that
16 together with the genomic revolution, and those are the
17 ingredients that have created what we are talking about
18 today and is the reason basically that we're sitting here,
19 because the concepts of pharmacogenetics and
20 pharmacogenomics really date back half a century. Every
21 time I'm called up, as I was by Public Radio the day before
22 yesterday, and they say Francis Collins thought this up,
23 well, Francis is a wonderful man, but he didn't think this
24 up. As a matter of fact, these concepts have been around
25 for half a century, but they have been accelerated

1 dramatically by the technology that came out of the Genome
2 Project.

3 So my definition of pharmacogenomics is the
4 convergence of the advances in pharmacogenetics that have
5 occurred over decades with the striking progress that has
6 occurred in human genomics. You bring that volatile mix
7 together and I think that's one of the reasons that we're
8 sitting here.

9 The clinical goals are obvious, and in the
10 introductory comments we mentioned avoiding adverse drug
11 reactions, and I'll use an old chestnut, namely TPMT, to
12 illustrate that in just a moment. But let's don't forget
13 that we're also maximizing therapeutic efficacy, selecting
14 those patients who might respond best to the
15 drugs. Frankly, one of the impediments, and I'm speaking
16 now from the view of the academic world, to the involvement
17 of pharmacogenomics in the drug development process has
18 been this issue of selecting responsive patients, which
19 limits the markets for the drugs. Now, I'm sure I'll hear
20 something quite different in just a moment, but we need to
21 get the issues out and at least talk about them here.

22 The scientific goals are also obvious, the
23 correlation of variation and DNA sequence or structure with
24 variation in the drug response phenotype, the so-called
25 genotype/phenotype correlation. Now, I never thought in my

1 lifetime, and I've been doing this stuff for over three
2 decades, that I'd be standing here talking to you about DNA
3 sequence. As a matter of fact, the postdocs in my lab, I
4 walked in the other day on a Sunday and I said, okay,
5 Ezekiel, how many base pairs did you sequence this
6 weekend? He said 5 million. This is a mom and pop store,
7 folks.

8 So when you stop and think about that, that's
9 truly an amazing revolution that has occurred. Let's
10 immediately say -- I mentioned that I'm an internist --
11 that all of us who write those prescriptions understand
12 that genetics are only one factor that plays a role in
13 individual variation in drug response. The patient's age,
14 renal function alters rather significantly with advancing
15 age. We are increasingly sensitive to the fact that males
16 and females respond differently to drugs. Underlying
17 disease and drug interaction all play a role. So this is
18 only one factor, but it's one where objective information
19 may now be brought to the physician, and the challenges
20 which you mentioned in your introductory comments, how do
21 we help the practicing physician to integrate this
22 information into the therapeutic encounter, is going to be
23 an interesting challenge.

24 Let's don't forget, because my medical students
25 do, they focus on what does the drug do to the patient, but

1 the patient is doing a lot of things to the drug. That is,
2 the drug must be absorbed, and we know the transporters
3 play a role in this process, get to its site of action,
4 interact with its targets, be metabolized and
5 excreted. All of these processes, we now know, have very
6 significant and clinically relevant genetic
7 variation. Most of this field grew out of the field of
8 drug metabolism, but that's only as a demonstration project
9 because of pharmacokinetics we could gain insights into
10 intact, unhomogenized human beings by looking at
11 pharmacokinetic parameters and therefore look at drug
12 metabolism.

13 I like to think of this as a scientific
14 evolution analogous to the way in which we have approached
15 the application of genetics to diagnostic medicine. Let's
16 begin with some rather dramatic monogenic traits, and I'll
17 show you some of those examples in just a moment. They
18 were necessary to make the point, because I can't tell you
19 how many years I would go around to departments of
20 pharmacology talking about pharmacogenetics, and as soon as
21 I'd say the words "allele" or "polymorphism," everyone's
22 eyes would glaze over, their palms would get sweaty, and
23 nobody would pay any attention.

24 Then they would tell me, why don't you get a
25 nice inbred mouse because they won't show this yucky

1 variation. And I would say I'm studying the variation. So
2 we had to make the point, and TPMT and CYP2D6, if they
3 didn't exist, we would have to invent them, and I'll tell
4 you about them in just a moment. But that will not be
5 probably an example of the major way in which genetic
6 variation will manifest itself. Increasingly, we're
7 talking in terms of both PK and PD pathways, and I'll
8 define those in just a moment, and increasingly adding
9 genome-wide screens at the scientific level to gain
10 insights into the myriad ways in which genomics can play a
11 role in individual variation in drug response.

12 Pharmacokinetics -- and I'll just in the
13 remainder of my comments talk about PK and PD -- are those
14 factors that influence the final drug concentration at its
15 target, predominantly transporters, drug metabolizing
16 enzymes. Pharmacodynamics are those factors that influence
17 the response of the target itself, not just the target but
18 all the downstream signalling that comes from the
19 target. We now know that although we might be able to make
20 an end run around this, it's going to be awfully hard to
21 make an end run around genetic variation in the
22 pharmacodynamic pathways.

23 Now let's use a couple of what Eric turned to
24 me and said I assume you're going to talk about the old
25 chestnuts, and I said yes, sure, of course I will. So

1 let's use these two, and I like to use them because they're
2 both well validated, and because in the draft
3 pharmacogenomic guidance that the FDA put out in 2003, and
4 I guess in March of these year these are no longer draft,
5 they selected these two, thiopurine methyltransferase, TPMT
6 or CYP2D6, as valid biomarkers, meaning they're old
7 fashioned and we all know a great deal about them. So
8 let's use TPMT as a prototypic example.

9 Here are the thiopurine drugs, 6-
10 mercaptopurine, which was developed in what was then the
11 Burroughs-Wellcome company by George Hitchings and Gertrude
12 Ellen. They shared the Nobel Prize in 1988 in part for the
13 development of these drugs which are a mainstay in the
14 treatment of acute lymphoblastic leukemia of childhood, a
15 disease that was uniformly fatal when I was in medical
16 school, and today we cure 85 percent of these kids with
17 drugs -- no surgery, no radiation therapy. That's what I
18 mean when I say the therapeutic revolution was a quiet
19 revolution. These drugs were also used as immune
20 suppressants, azathioprine, which is just 6-mercaptopurine
21 with amanadazol up here, which is cleaved off in vivo, and
22 they're used in the treatment of inflammatory bowel
23 disease.

24 Now, even the Mayo medical students who I teach
25 know that these drugs are metabolized by xanthine

1 oxidase. George Hitchings and Gertrude Ellen knew that
2 they also underwent a so-called phase II conjugation
3 reaction where a methyl group was stuck on that
4 sulphur. The metabolites were present in the
5 urine. Twenty-five years ago, no one knew anything about
6 the variation in the enzyme itself, but these are very
7 powerful cytotoxic agents, and every now and then you would
8 treat one of these children with leukemia and the drug
9 would destroy the child's bone marrow, and the child would
10 die from the drug therapy, not anything that anyone wanted,
11 what we would have referred to in those days as an
12 idiosyncratic reaction, which means we don't understand
13 what the cause is.

14 This just shows you data which we published 25
15 years ago now on TPMT in the human red blood cell. In case
16 I forget to say it, what you see here reflects the level of
17 the enzyme activity in every human tissue, for reasons that
18 will become clear when I show you the gene in just a
19 moment. These are 298 randomly selected Northern European
20 blood donors in Minnesota. There's an important reason why
21 I say that, and I'll come back to it in just a
22 moment. That is, everyone in Minnesota, except me, is
23 named Anderson and Johanson and stuff like that.

24 But there's a scientific reason for bringing
25 that up. Ninety percent of this population had high

1 activity, about 10 percent had intermediate activity, and
2 this lady down here, whose daughter works at Apache Mall in
3 Rochester, Minnesota, had zero enzyme activity. Rochester
4 is a very strange town, folks. People will stop you when
5 you're walking through the mall and ask you how your mom's
6 enzyme activity is doing.

7 So using very, very sensitive molecular
8 techniques developed by a monk in a monastery in what is
9 today Brno in the Czech Republic -- this was before anyone
10 had cloned much of anything. So we were using segregation
11 analysis. If mommy is low and daddy is high, what are the
12 kids? You could just as easily determine that this was a
13 genetic trait using that approach. You can say that this
14 woman has two copies of a gene for low activity, these
15 people have two copies of an allele for high activity, and
16 these are heterozygous with intermediate activity, and
17 autosomal co-dominant trait, which is true for every
18 tissue. This just shows you the consequences of having two
19 copies of low. This was long after Lynn Leonard and I had
20 described that if you have low TPMT activity, you are at
21 serious risk for life-threatening myelosuppression.

22 This is a heart transplant patient in Germany
23 treated with standard doses of azathioprine. Here's the
24 white count. Here's the azathioprine dose. Notice that
25 the white count drops, the drug is stopped; it goes up, the

1 drug is started. The white count goes down to zero, the
2 drug is stopped. Started again. The patient died here
3 with myelosuppression. They then measured the TPMT in the
4 red blood cell. This patient genetically lacked the
5 enzyme.

6 These cases, by the way, are not reported any
7 longer. Do they occur? Tragically, yes, because I get
8 many of the telephone calls. I got one just two weeks ago,
9 again exactly the same situation.

10 So if you have low TPMT activity on a genetic
11 basis, you're at greatly increased risk for thiopurine
12 toxicity, which can be life-threatening. Mary Relling at
13 St. Jude has demonstrated this is also a risk factor for
14 secondary neoplasm. When we cure these kids for their
15 primary neoplasm, Lynn Leonard in Sheffield has shown that
16 high TPMT, you have decreased therapeutic efficacy for a
17 life-threatening disease. At our place we have been doing
18 the TPMT genotype, and then the phenotype study, since
19 1991. We do about 5,000 to 10,000 of these tests per year,
20 about half on our own patients and about half referred in
21 from physicians outside, and we are individualizing
22 therapy. Clearly, if we see these people, we treat them
23 with one-tenth to one-fifteenth the standard dose, and
24 that's been our situation for about 15 years now.

25 The cDNA was cloned by Ron Honshal in our lab,

1 who is now at the FDA. The gene was cloned by Diane
2 Otterness, who is out in California. Here's the gene
3 itself. It is 10 exons, eight of which encode protein. On
4 the short arm are chromosome 6. The blue area here is the
5 part that encodes the protein. The most common variant
6 allele in Caucasians, which we described in 1996, has two
7 non-synonymous coding SNPs that change the encoded amino
8 acid 1 on axon 7 and axon 10. If you have that variant,
9 which is present -- this is not a mutation. This is a
10 common polymorphism, the frequency is one out of every 20
11 copies of that allele in Northern European Caucasians --
12 then you are at very greatly increased risk for drug-
13 induced toxicity if you're treated with standard doses of
14 thiopurines.

15 By the way, that variant allele has never been
16 described in anyone from Korea, Japan or China. That was
17 the reason I made the point, and we're going to come back
18 to this in my later presentation, and one of the reasons I
19 was called by National Public Radio was to ask about
20 BiDil. The hearings are today, so I think we'll be coming
21 back talking about that. This is the variant that's found
22 in East Asia. It just has the axon 10 variant at about a 2
23 percent frequency.

24 Because of the dramatic clinical consequences,
25 and because it's relatively well validated, this was one of

1 the first examples that the Food and Drug Administration
2 considered for possible inclusion of this
3 information. Labelling had two public hearings. I
4 testified at both of them. Felix Frueh is here. I saw him
5 before we began. That was an interesting experience which
6 I'm sure he'll describe in greater detail.

7 Let's move on to CYP2D6 to give another
8 example. It's the same song, second verse. Interestingly,
9 we published our first paper on TPMT in 1978. It was the
10 assay that we knew we wanted to use for pharmacogenetic
11 studies. It was almost at exactly the same time that the
12 first paper on 2D6 was published. So these are old
13 examples, folks, and that's why Eric asked me, oh no, am I
14 going to have to hear about TPMT and 2D6 again? So this
15 just shows you that cytochrome P4502D6 metabolizes 40 or 50
16 commonly used drugs, including beta blockers and
17 antidepressants.

18 Here you're looking at a metabolic ratio for
19 the antihypertensive dubresouquine, which was never
20 introduced on the market in the United States. It
21 undergoes 4-hydroxylation catalyzed by 2D6. Counter-
22 intuitively, the way we have represented this, the way
23 pharmacogeneticists do this is to show the metabolic
24 ratio. These are the poor metabolizers up here. It's
25 about 5 to 10 percent of a Caucasian European

1 population. Once again, I say that because there are
2 ethnic differences in allele frequencies and types.

3 This group is the extensive metabolizers, and
4 these low numbers are ultra-rapid metabolizers. That
5 obviously is also -- or not so obviously but also of
6 clinical importance.

7 This just shows you data from -- the previous
8 slide came from the Karolinska, from Lief Battleson's
9 lab. This is also from Lief Battleson's lab at the
10 Karolinska, where they're looking at the tricyclic
11 antidepressant nortriptyline, and what you're looking at is
12 pharmacokinetics -- that is, plasma levels over time --
13 depending on the number of active CYP2D6 genes that you
14 have. Most of us have two copies of that active
15 gene. Here is our pharmacokinetic profile. By the way,
16 this slide unites the two topics which are the least
17 favorite of the male medical students. They find drug
18 metabolism boring. They find pharmacokinetics terminally
19 boring. Putting the two together here in one slide is
20 amazing.

21 So you can see if you have two copies of a
22 variant, you can either have gene deletion or you can have
23 polymorphisms that result in no activity. You have a much
24 higher peak plasma level and a much larger area under the
25 curve. But look down here. This lady, who was herself a

1 nurse at the Karolinska, had 13 copies of the active
2 gene. Look at her pharmacokinetic parameters. Now, her
3 metabolites were way up there, way off scale. So these are
4 active genes. This just shows you what can happen.

5 In most cases, CYP2D6 terminates the action of
6 the drug. But for codeine, what it does is activate it by
7 converting codeine to morphine. So if you are a poor
8 metabolizer for 2D6, and that's 5 to 10 percent of the
9 European population, you will not get the analgesic effect
10 from codeine. But if you're an ultra-rapid metabolizer --
11 and this was a very recent case report in the New England
12 Journal, December 30th, 2004. Sixty-two year old man
13 hospitalized for pneumonia, treated with standard doses of
14 codeine, right out of the PDR, as a cough suppressant. The
15 next stop was the ICU because the patient stopped
16 breathing. He had morphine levels 20 times the expected
17 level. He was an ultra-rapid metabolizer.

18 I just show you this as a preview of Walter. I
19 have no stock in any company, and certainly not in
20 Walter's, but let me say that all that we're doing here is
21 using this metabolic ratio to give us insight into what's
22 going on at the level of the DNA. In today's world, and
23 we'll be talking about this later, devices like the one
24 which comes from Roche Diagnostics, give us direct insight
25 into the DNA.

1 I finally want to give us a peak at the
2 future. I feel obliged. I live in Minnesota. We're right
3 next to Wisconsin. This is Karl Paul Link, the man who
4 discovered warfarin, an amazing person. If you haven't
5 read the story of the discoverer of warfarin and the farmer
6 with the bucket of blood in the Wisconsin blizzard, go back
7 and read it. They don't let you write articles like that
8 anymore.

9 Warfarin can occur as an S and R enantiomer. The
10 S is metabolized by CYP2C9. This just shows you that
11 warfarin blocks the Vitamin K pathway which is required for
12 the gamma-carboxylation of glutamic acid to make active
13 clotting factors. The epoxide reductase shown in this
14 little cycle here was only cloned just about a year
15 ago. First let's look at the metabolism.

16 So now we're looking at the PK, the
17 pharmacokinetic pathway, and there are common genetic
18 polymorphisms for cytochrome P4502C9 in European
19 populations. If you're homozygous for the *3 variant, you
20 can see the clearance is much reduced as compared to the
21 clearance of S-warfarin, which is really the most active
22 portion of the warfarin. Here you can see what we see in
23 the individuals who are homozygous for wild type 2C9. But
24 look at that variance. Big variance.

25 Now we're looking at the Vitamin C cycle, and

1 it was in Nature, February 5th, 2004 that this target was
2 first cloned. You would think we would have known about it
3 before then, but we did not. I assigned this for our
4 journal club. The people in my lab said wait a minute, we
5 don't do warfarin stuff. Why are you assigning us this? I
6 said because somebody is going to resequence this gene in
7 about 10 minutes, and when they do, this will be used for
8 pharmacogenetic research. Several groups did.

9 This is from the June 2nd, 2005 New England
10 Journal. National Public Radio asked about this, too. So
11 they're becoming very onto pharmacogenetics. That gene is
12 called Vitamin K oxidoreductase C1, or VKORC1. The gene
13 was resequenced. Ten common SNPs and 5 common haplotypes
14 were identified. None of them were non-synonymous
15 SNPs. They didn't change the encoded amino acid. So now
16 we're moving on to the world of haplotypes, the combination
17 of SNPs on a given allele. They divided their groups into
18 low-dose and high-dose haplotypes.

19 Notice the mean maintenance doses of warfarin,
20 about 2.7 for those who had two copies of the haplotype for
21 low dose, and 6.2 for two copies of the high dose. This
22 variant was responsible in their studies for about 30
23 percent of the variation in final warfarin dose, CYP2C9
24 about 10 percent. You begin to put those together and now
25 you're beginning to talk about something that, if you're

1 prescribing warfarin, you might want to know about.

2 So the scientific evolution -- and I'll try to
3 keep us on time -- was monogenic traits. Pathways were
4 increasingly incorporating genome-wide screens and
5 scans. Let's don't forget what the clinical goals are, not
6 only avoiding adverse drug reactions but probably over
7 time, more important, maximizing efficacy and selecting
8 responsive patients. That has pharmacoeconomic
9 implications which I'm sure you'll want to discuss later.

10 Let's don't forget the scientific goal, because
11 as the science rolls forward, our ability to bring ever
12 more complex, ever more complete information to the bedside
13 is going to accelerate, and the vision, which we will never
14 achieve -- I understand that. I'm a practicing
15 physician. But the vision is very clear, to select the
16 right drug at the right dose for every single patient that
17 we see.

18 Thank you very much. I hope this is helpful.

19 (Applause.)

20 DR. WINN-DEEN: I think we have time for about
21 five minutes worth of questions if the committee has any
22 specific things they'd like to ask Dr. Weinshilbom. We'll
23 have a second shot at him a little later in the session if
24 you don't get all your questions answered.

25 Julio?

1 DR. LICINIO: Hi, Dick.

2 DR. WEINSHILBOUM: Good morning.

3 DR. LICINIO: Yes, good morning. Wonderful
4 presentation again.

5 We had a discussion yesterday which I think you
6 could elucidate in your presentation, which is that one of
7 the things that strikes me about the field is that what you
8 presented is very clear and incontrovertible. While we
9 could question if someone has a gene for some disease, it
10 gives a predisposition, they may or may not have the
11 disease. These cases are pretty clear. If you don't have
12 the enzyme, you're not going to metabolize the drug,
13 period. So this is as clear-cut as you can get in terms of
14 genetics.

15 If on the other side, the testing, which was a
16 big topic of discussion here yesterday, is still
17 controversial, for this it should not be, and yet it's not
18 out there. So we had a discussion yesterday about these
19 people putting these ads in the Internet and saying send
20 your DNA here, we'll test it for you, and we'll do these
21 tests, and there was a big discussion about how to regulate
22 testing. But my view is that as long as there is a need,
23 people are going to do it. If you don't allow it in this
24 country, they're just going to send their sample to Canada
25 or to England or to wherever.

1 Why, in your view -- I mean, I know it's
2 beginning to catch up, and I actually cited yesterday your
3 own institution as an example, where if you go for regular
4 care you can get some of these things tested and get your
5 treatment pharmacogenetically oriented. But it's not the
6 mainstream of treatment yet, and it's so established, so
7 old, so solid, why, if you just go to the academic medical
8 center X, a good medical center in a good city, why don't
9 they test for CYP2D6 before they give a drug that's
10 metabolized by that enzyme? What's the delay? What's
11 going on?

12 DR. WEINSHILBOUM: Well, of course, Julio is
13 asking one of the many questions that I've asked over the
14 years because I have been going around overdosing audiences
15 on this sort of information, particularly for the more
16 dramatic examples. For some of the well-established
17 examples, and TPMT and CYP2D6 are used as examples because
18 they are relatively straightforward and dramatic. That's
19 why I said they're demonstration projects which if they did
20 not exist, merely to make the point you'd have to invent
21 them. Well, you didn't have to invent them. They're
22 actually there, and some of us are fortunate to have been
23 lucky enough to stumble across them early on.

24 Part of the difficulty is at the level of the
25 practicing physician understanding this kind of information

1 and these concepts. We'll talk about that later and
2 actually, Julio, I'll mention this later when I make my
3 later presentation about practice of medicine. At our
4 place, we have a genomics education program which focuses
5 both on therapeutics and diagnostics, which we have funded
6 by a private foundation about a million dollars a year
7 merely to continually raise the consciousness of the
8 physicians and educate them.

9 Now, physicians are intelligent and want to do
10 what's best for their patients, but the vocabulary is a bit
11 of a barrier here. We have to make things user friendly
12 and easy for the physicians.

13 Number two, Julio is right with regard to in
14 this age of information and the Internet that the patients
15 are beginning to drive the process, and we need to be
16 careful about not having inappropriate expectations on the
17 basis of the patients. So patient education, as we'll
18 mention in a moment, is also going to be an interesting
19 challenge.

20 I get the opportunity to present at something
21 called internal medicine reviews, which for the upper
22 midwest means a lot of internists like myself come in and
23 want to hear what's going on, and even dental reviews. At
24 dental reviews, which are dentists from the upper midwest,
25 they're telling me that their patients are coming in having

1 done just what Dr. Licinio said, having been tested over
2 the Internet, and they all know their 2D6 genotype because
3 they don't want to get Tylenol number 3 with codeine if
4 they can't respond to it.

5 I found this fascinating, that dentists are now
6 seeing this. So the patients may be ahead of the
7 profession in some ways. There are a lot of other barriers
8 that we'll have to talk about when we go into the further
9 discussion, but I think this is a very great challenge, and
10 you actually mentioned this in your introductory comments
11 with regard to the barriers to the introduction of this
12 science across what I refer to as the translational
13 boundary.

14 DR. WINN-DEEN: Thanks.

15 We've got time for a quick one more, Ed.

16 DR. McCABE: You mentioned that I think it was
17 TPMT, that there had been consideration for labelling by
18 the FDA. Was that included in labelling, the
19 pharmacogenetics?

20 DR. WEINSHILBOUM: There were two public
21 hearings, and Felix Frueh is here, and we have
22 representatives of the FDA, and I'm just this guy from
23 Minnesota who was invited in to testify. It is my
24 impression that the labelling has been changed to make
25 information with regard to the existence of the genetic

1 polymorphism and the availability of testing -- there was
2 no mandate for testing -- to make the physician aware of
3 that information.

4 DR. WINN-DEEN: Okay, I'm sorry. We're going
5 to try to keep on time, which means we have to move on to
6 the next talk.

7 The next focus will be on the public health
8 perspective, and speaking with us today is Robert Davis,
9 who joins us from the Department of Epidemiology at the
10 University of Washington, School of Public Health. He's
11 currently on sabbatical in the CDC's Office of Genomics and
12 Disease Prevention, and he's going to give us a little
13 overview of where we are from the public health
14 perspective.

15 DR. DAVIS: I will, as soon as I can find my
16 talk.

17 First, thank you very much for inviting me here
18 today. It's an honor to be here. As I was introduced, I'm
19 actually a senior investigator at the Center for Health
20 Studies at Group Health Cooperative Research Center in
21 Seattle, Washington, and I'm also in the Department of
22 Epidemiology. As a conflict of interest disclosure, I'm on
23 sabbatical at the Office of Genomics at the Centers for
24 Disease Control.

25 I want to start by showing our house, and this

1 was a celebration that occurred when the AmpliChip was
2 licensed. We're big fans of the genomic revolution, and I
3 came home and found my kids celebrating with my wife when
4 the AmpliChip was licensed. I promptly turned to them and
5 I said, "Simon, where is the evidence that the AmpliChip,
6 when introduced to an institution, say the University of
7 Washington, will actually improve patient outcomes?" And
8 Simon promptly started crying, and Sophie threw the cake at
9 me, and my wife stopped talking to me, and my department
10 chair got mad at me. So I'm the bringer of bad news today,
11 or the bringer of a sobering outlook, and I've already
12 suffered the consequences, so there's nothing you can do to
13 make it any worse.

14 But I just wanted to introduce that it was a
15 tremendously exciting and uplifting talk when we heard
16 about the cytochrome P450 AmpliChip and about its use and
17 about the fantastic improvements that TPMT understanding
18 has given us. But there's a big step between understanding
19 how it works on the clinical level and understanding how it
20 can be applied at the public health, sort of macro level,
21 and that's what I want to walk you through today.

22 We have to get from here -- and these are my
23 kids. They share my genes. I am the biggest fan of the
24 genomic revolution there can be. I wanted to talk about
25 how we get from this degree of excitement to an

1 understanding of how it actually works at the macro level,
2 the public health level.

3 So let me go back to the start. As we've
4 heard, the goal of public health approach to
5 pharmacogenomics is really the same goal as the goals that
6 we have when we're practicing clinicians, and that's the
7 right drug to the right person at the right time. In 100
8 years, we'll be amazed that we used to start everybody who
9 had asthma on albuterol because we're already discovering
10 that that's probably not the best thing for quite a few of
11 those people.

12 Wylie Burke and Ron Zimmer have published a
13 really remarkable paper that talks about the needs to get
14 from -- actually, is there a pointer here? I can sort of
15 point like this.

16 DR. WEINSHILBOUM: I brought one.

17 DR. DAVIS: It's a great way to gauge how much
18 coffee I've had.

19 But Wylie Burke and Ron Zimmer have really
20 published a remarkably good paper that talks about the
21 needs to go from the identification of gene/disease
22 associations to the appropriate use of genetic testing. It
23 really talks about evaluating these tests in terms of their
24 clinical utility; that is, does it actually improve patient
25 outcomes. It talks about studying how the tests are

1 actually applied in the health care delivery system, and
2 then it talks about the statutory regulations that are
3 needed to make sure that these tests are utilized in the
4 right way.

5 I think genetic tests, by and large, are
6 extremely similar -- or our approach to pharmacogenomics
7 should be extremely similar to genetic tests. What I'm
8 going to talk about is really trying to get to here and to
9 here. To do that, what we really need is a system which I
10 think is lacking in the United States today that guides us
11 to produce the evidence, that guides us to talk about the
12 best ways of integrating that evidence, and that helps us
13 understand the long-term implications of what we do,
14 particularly so that we move past the situation where
15 people are still receiving telephone calls about the proper
16 or improper use of therapeutics for leukemia. That is, in
17 essence, why are we still, in the year 2005, receiving case
18 reports of people who are not utilizing the evidence in the
19 proper way?

20 The question is, how can we set up a system so
21 that we are actually able to utilize this evidence in the
22 right way? I consider that, actually, a public health
23 approach.

24 So what's the real difference here? When drugs
25 are being developed, we typically take them through Phase

1 I, II and III trials, where we go from small studies to
2 progressively larger studies to look at response to
3 medications and vaccines, safety and efficacy of
4 medications and vaccines, and then we do clinical trials
5 to, in essence, document the outcomes among patients and to
6 expand the use of those medications in terms of larger
7 patient populations and disease sets.

8 The public health approach is the clinical
9 application of this bench research. It's the effectiveness
10 in the real world, including the generalizability, and
11 that's the modern ring of these real-world applications, to
12 understand the full implications of what happens when we
13 actually take this stuff and we try to apply it.

14 So here's an example that I think is perhaps
15 not an old chestnut. I've probably got about a year that I
16 could discuss it before it becomes an old chestnut. It's
17 kind of a new chestnut. It has to do with increased
18 evidence about beta-adrenergic agonists. They're the most
19 commonly used medication for asthma treatment. As a
20 practicing pediatrician, I've noticed that it produces
21 adverse effects in some patients. Albuterol works
22 wonderfully in most of my pediatric patients, but in some
23 it's been clear to me as a practicing pediatrician that it
24 doesn't have the same effect.

25 It turns out that polymorphisms of the beta2

1 adrenergic receptor plays a role in the responsiveness of
2 patients, and patients homozygous for arginine, the B2AR16,
3 in essence homozygous for arginine, respond differently --
4 i.e., poorly -- to the regular use of albuterol, and here's
5 one reference. In fact, there are many others documenting
6 this at the patient level. The basic science approach,
7 then, is really addressing the evidence about how albuterol
8 and genes work together to affect lung function.

9 I thought that maybe before I retired I would
10 begin to see some of this type of information, and I think
11 I saw that two years ago, and here we are already. It just
12 sort of speaks to how rapidly this field is moving ahead.

13 The public health approach really says does our
14 knowledge of this polymorphism affect measurable clinical
15 outcomes, and does it lead to increased morbidity and
16 mortality among treated asthmatics? Does the polymorphism
17 lead to increased costs of health care and decreased
18 quality of life among treated asthmatics? In other words,
19 would our knowledge of that polymorphism lead to decreased
20 morbidity and mortality, decreased costs of health care,
21 and increased quality of life? So the public health
22 approach really asks, given that albuterol and genes appear
23 to work together to affect lung function, does it
24 matter? Can we measure its effect?

25 So that's the first step. Then the public

1 health approach really expands even larger to say when you
2 release this, when you license it and it begins to be used
3 with everybody, and people are now being screened perhaps
4 for this polymorphism before they're being put on
5 albuterol, what happens when you study its effect in terms
6 of the co-use of prednisone or fluticasone? What happens
7 in the elderly, who may actually already suffer from
8 diminished lung function? What happens in pediatrics,
9 where asthma is actually probably somewhat of a different
10 disease than asthma in adults? And what happens in
11 different ethnic groups, who carry all sorts of other genes
12 that may, in fact, actually modify the effect of the
13 adrenergic receptor?

14 So, in essence, the public health approach
15 would say we need to understand all of this in addition to
16 understanding how the polymorphisms and albuterol work
17 together in the global, macro sense. That's a pretty large
18 charge for this committee. So how would we go about
19 collecting information on measurable clinical outcomes in
20 terms of morbidity and mortality in a diverse population
21 set, including elderly and children and different
22 ethnicities? There are really three major options that I
23 could talk about today. One is observational studies,
24 randomized clinical trials, and large practical
25 trials. They all have different strengths and weaknesses,

1 and that's what I'm going to walk through now.

2 Now, it turns out that observational studies
3 can basically be broken down into cohort or case-control
4 studies, and this is in essence one step above the very
5 compelling case reports that we heard from the previous
6 speaker. Among asthmatics, you could basically say among
7 those given albuterol or those not given albuterol, what's
8 the rate of a good versus a bad outcome in persons given
9 albuterol compared to people not given albuterol? Then if
10 you stratify them according to their gene status, I
11 basically set up how we would look at this in a cohort
12 study in an observational setting.

13 Those cohort studies tend to be very large and
14 very expensive, but they do give you very good information
15 as to whether people on albuterol do better depending on
16 their gene status. You could alternatively just simply
17 nest a case-control study and pick a couple of hundred
18 people who have good outcomes and a couple of hundred
19 people with bad outcomes among those who have asthma and
20 then look at the percent who have been on albuterol in
21 terms of the proportions they make up of the good outcomes
22 and the patients with bad outcomes, and then additionally
23 stratify them according to their gene status, and once
24 again you'd get back to the same place. You would actually
25 have evidence that tells you whether or not albuterol

1 improves asthma outcomes according to your gene status.

2 The advantage of observational studies is that
3 the data is actually easily available, and when I say
4 easily available, I mean relatively. It's actually very
5 hard, takes a long time, and it's very expensive, but it's
6 out there already. We could actually begin to get this
7 information today. As a matter of fact, people are getting
8 this information today.

9 The comparison by gene group is relatively
10 unbiased. That's the wonderful thing about genes, that
11 apart from our typical suspects, confounders like smoking
12 and alcohol, the nice thing about genes is that they
13 distribute themselves in a fairly unbiased situation here,
14 and we'd be able to get good information, good evidence as
15 to the effectiveness of albuterol in different gene groups.

16 The disadvantage is that sample size
17 limitations really come home to roost when you're
18 stratifying additionally by elderly, by children, by other
19 medications, by ethnic groups. So even somewhat large
20 observational studies will run into limitations in terms of
21 how much information they can give us.

22 Randomized clinical trials allow you to go out
23 and, in fact, find a couple of hundred people who are
24 homozygote and a couple of hundred people who are either
25 heterozygote or homozygote for some other beta-adrenergic

1 receptor, and allow you to randomize albuterol among the
2 two different groups of people, among the two different
3 groups of gene strata. That would allow you to directly
4 address whether or not albuterol works better among one or
5 two -- am I shouting? I'm not shouting loud enough. I
6 think that's the first time anyone has ever said that to
7 me.

8 The nice thing about this is that you could
9 additionally stratify according to other genes. So if you
10 were interested in the gene interaction of beta2 adrenergic
11 receptor with a different gene, you could additionally do,
12 in essence, a 2x2 factorial design, or among this group you
13 could additionally randomize people to albuterol and
14 fluticasone and do a factorial design that way. So the
15 nice thing about randomized clinical trials is they allow
16 you to very directly address a very specific question with
17 very high quality.

18 The disadvantage of a randomized clinical trial
19 is that they typically enroll healthy patients and often
20 limit it to those on monotherapy, either the drug or drug
21 combinations that you're studying, and they have very
22 limited generalizability. I hate to say that I'm 48 and
23 I'm on three medications already. How that happened, I
24 don't know. I'd like to blame somebody, but I think I can
25 only blame my genes. So I would not be considered a

1 healthy patient for most of these trials, and most of these
2 trials have limited generalizability to me, even though I'm
3 a white male. What's wrong with this picture? I mean,
4 most of the time this stuff is generalizable just to me,
5 but most of this data, in fact, is not generalizable to me.

6 The nice thing about randomized clinical
7 trials, as I've said already, is that you can stratify
8 additionally by elderly, by pediatrics, by other
9 medications, by the size requirements get very large.

10 So these limitations have really led to
11 something I think is very exciting, which is the concept of
12 large practical clinical trials with the objective to
13 enroll many patients, over 100,000, in trials that are
14 randomized at the patient or at the clinic and provider
15 level. This allows for head-to-head comparisons of most
16 commonly used medications. So it allows us to ask not only
17 does statin A work better than statin B, but it also allows
18 us to ask are there haplotypes whereby statin A works best
19 for haplotype group A, whereas statin B works best for
20 haplotype group B.

21 It not only allows you to enroll enough people
22 to study very small differences that may actually have
23 minor clinical impact but huge public health impacts, but
24 it could also allow us to utilize the natural experiments
25 among this large number of people. If you enroll 100,000,

1 30,000 of them are going to be "elderly" and 20,000 of them
2 might be pediatrics, and that's still a fairly large sample
3 size. You you can actually look at the drug effectiveness
4 by gene status according to different risk groups; i.e.,
5 elderly and pediatrics. You could also look at other
6 fairly common genetic polymorphisms to look at gene/gene
7 interactions. Then you could look at the modifying
8 influence of other medications.

9 So there's really a lot to be said for really
10 strongly considering and recommending that we integrate
11 genomics into large practical clinical trials. I think
12 that's one of the more exciting things on the horizon.

13 The other thing that these large practical
14 clinical trials do is they not only look at the drug effect
15 but they look at the gene effect, and they also look at the
16 system effect. That is, given that we know what's going
17 on, the question is how well does the system respond to
18 that information, and that's really an under-appreciated
19 but real-world generalizability feature.

20 So what are the needs of the United States in
21 terms of setting up a network that could actually address
22 these issues? Well, in yellow in the subsequent slides,
23 you'll see that I've outlined what I think we need for this
24 kind of evidence of effectiveness to be created. We need
25 clinical researchers, epidemiologists, biostatisticians and

1 trialists as a network of researchers.

2 I guess what I'm getting at is this is a full-
3 time occupation to do these kinds of studies. This is
4 nothing you can do with 10 percent of your FTE, because it
5 really requires a complete mindset, a mind change, a
6 paradigm shift in how you actually think about doing your
7 studies and who you are going to talk to. So we need
8 actually dedicated clinical researchers, dedicated
9 epidemiologists, dedicated trialists that are looking at
10 pharmacogenomics and pharmacogenomic tests.

11 We also need organizations that are willing to
12 address this, because the problem here is that these types
13 of issues can either be tremendously helpful to these
14 organizations or they can show up on the front page of USA
15 Today in a pejorative or a derogatory or a rather fearsome
16 title about a large organization studying the genetic
17 attributes of the population. So we really need to, I
18 think, align ourselves with managed care organizations,
19 Blue Cross/Blue Shield, United, Medicare, the VA, Medicaid,
20 to talk about how we can actually network our researchers
21 together with them to do these large practical clinical
22 trials and large observational and randomized clinical
23 trials.

24 IRBs will need to be brought up to speed, and
25 many of them will require a tremendous degree of

1 reassurance that we will do the right thing for the right
2 people at the right time. I'll talk later about the types
3 of data standards that we'll need to develop to do these
4 sorts of studies.

5 Now, I'm just going to briefly talk about this
6 because I think Muin will talk about more of this later on
7 today. But once we get this evidence, it will come in a
8 big mish-mash that we call published medical evidence and
9 that we all grapple with on a routine basis. So what we
10 also need is a system somewhere around here that talks
11 about a systematic analysis of drug and test
12 effectiveness. This relies primarily on the format of
13 systematic reviews and formal meta-analyses, and these
14 incorporate evidence from randomized clinical trials, large
15 practical trials, and observational studies.

16 I'm very pleased to say that there's already
17 been movement here, where the EGAPP project, which
18 evaluates the genomic applications, has already convened,
19 and this committee knows quite a bit about this so I won't
20 talk about this in any further detail.

21 Now, we have a question from one of the
22 panelists, who asked why are we still not able to integrate
23 this evidence, and I think that it's clear to say that the
24 U.S. research enterprise has failed miserably in
25 integrating evidence into clinical practice. Rob Califf

1 said this, and I'm just reiterating this opinion, but I
2 actually believe that we really simply have not paid nearly
3 enough attention to a scientific approach to integrating
4 evidence into practice. The Cochran Collaboration in the
5 United Kingdom has already begun for at least one decade
6 leading the way toward the synthesis and collection of
7 evidence in order to integrate it into practice. AHRQ
8 launched their Translating Research Into Practice project,
9 but we are still, as of June 2005, really on square one
10 still in terms of any fundamental success in systematically
11 integrating evidence into practice.

12 So let's assume that the evidence is strong,
13 that knowing beta2 adrenergic receptor status among
14 asthmatics improves outcomes. Let's say we actually do the
15 studies that show that it actually makes a
16 difference. What's the best way to get this evidence into
17 practice? Well, still I think in the United States we are
18 doing it the old way still. The old way was that if we
19 could only educate doctors, this would solve the
20 problem. I'm going to say something very politically
21 incorrect. It's not a waste of time because it's
22 necessary, and people get mad at me if I say it's a waste
23 of time, but what we do when we educate doctors is we find
24 out that doctors test better.

25 Well, that's a far cry from saying they

1 actually apply the evidence. In fact, Group Health has
2 done a number of studies showing that if you educate
3 doctors, they test better and their practice doesn't change
4 a bit in terms of diabetic care. So I think that we can
5 educate patients and the patients will have better
6 knowledge, but if the doctor doesn't do it, I'm not sure
7 that's really money well spent.

8 We could do academic detailing, and a number of
9 us I'm sure have done studies on academic detailing. They
10 tend to have high costs and temporary effects. Private
11 detailing is not a bad idea, except that it's a directed
12 change in terms of what gets done to the patient and it
13 doesn't have a public health focus.

14 So I don't think that any of those are really
15 the fundamental way we should be integrating evidence into
16 practice. There is a new movement, though, which is long
17 overdue, which is to perform randomized clinical trials or
18 quasi-experimental trials as a means to test the best way
19 to integrate evidence into care, and here's one example
20 that I thought of, which is the usual care for asthmatics
21 versus an electronic reminder within the electronic health
22 record -- i.e., EPIC, that's being used in Kaiser now --
23 with automatic ordering of gene status based on diagnosis
24 or prescribing behavior.

25 For an example, somebody comes in and you give

1 them the diagnosis of asthma, and the electronic medical
2 record actually finds out that that's their first diagnosis
3 ever in their electronic medical record. It would
4 automatically order the beta2 adrenergic receptor, assuming
5 that this evidence is strong that it affects clinical
6 outcomes. I think that's a great idea. It would
7 automatically order it and it could automatically write the
8 right prescription in the right dose. It could do that,
9 and as a matter of fact we're hoping to do a trial similar
10 to that for warfarin at Group Health, where it's basically
11 taken out of the physician's hands and it's put into the
12 computer's hands, not completely but in essence it
13 automatically does this so it's not dependent on me
14 remembering to order the test and remembering to look at
15 the test results before I write the prescription.

16 So what kinds of systems are necessary to get
17 this evidence integrated into practice? Well, to do that
18 kind of study, that actually requires a different kind of
19 person. It doesn't really require an epidemiologist
20 anymore. It requires health services researchers, and
21 those are a different breed than your standard
22 epidemiologist and trialists. It also requires substantial
23 EMR development. It takes a lot of time to develop these
24 sorts of pop-up screens in EPIC that could actually
25 automatically order tests that are conditional on the

1 disease being diagnosed and that could automatically order
2 medications. I'm not saying that's a bad thing. I'm just
3 saying that we lack this right now. We are not doing that.

4 So finally, I'm going to talk about what I mean
5 by surveillance. I've talked about how we could collect
6 the evidence, how we could figure out how to integrate the
7 evidence. I still don't think that's the full range of
8 things that is incorporated by the public health
9 approach. The public health approach also has always
10 incorporated some degree of surveillance, and I think there
11 are three types of surveillance that we would need to do.

12 One has to do with quality measures, one has to
13 do with ethics, and one has to do with safety. What do I
14 mean by quality measures? Well, there should be standard
15 publications. Just like the MMWR shows the standard
16 publication of how we're doing with vaccine coverage, I
17 think that it would not be an unreasonable approach for us
18 to say among subjects with asthma around the country, how
19 many are being tested for this beta2 adrenergic
20 effect? Again, I'm a little bit in fantasy land. I'm
21 assuming that this data is now solid. But I'm saying that
22 we should not be dependent on individual publications that
23 sporadically get published. I think we should have a
24 national system that says what percentage of asthmatics are
25 being tested before they're being treated, and what percent

1 are being placed on appropriate medications conditional on
2 their genetic results.

3 I think we also need to have some sort of
4 surveillance mechanism set up so that we are on the outlook
5 for genetic discrimination and exceptionalism, decreased
6 access to service, and loss of insurance, and also the
7 inappropriate use of tests. That is, these tests being
8 used on the wrong population or incomplete counseling. I
9 think it would be a horrible idea if we just sort of
10 license these tests and then didn't have any
11 institutionalized approach to conveying that information to
12 the patient.

13 Then unintended outcomes, whether it be suicide
14 once you understand your drug metabolizing effects -- I
15 mean, things that we can't possibly conceive of will
16 happen, and I think there has to be some sort of
17 surveillance for unintended outcomes.

18 I also want to talk for one second about the
19 safety model that I think is something we should really
20 consider. In the vaccine model, we currently have a
21 passive reporting system for unintended effects of
22 vaccinations, and we also have a population-based data set
23 called the VSD, the Vaccine Safety Data link, that puts
24 together a population that looks at vaccine safety among 5
25 percent of the United States. I think the pharmaceutical

1 model has something similar with an adverse event reporting
2 system that's passive in nature. The CERT projects and a
3 couple of other projects perform a function for population-
4 based collaborative projects to look at medication safety.

5 I think in the future, hopefully, we will have
6 a registry of these adverse event reports, people who have
7 unintended effects after vaccinations, and it will be easy
8 -- i.e., possible -- where we will get buccal swabs for DNA
9 among those patients, and we will get a candidate gene
10 generation approach. That is, we'll begin to form a
11 registry of people who have unintended effects, and these
12 will allow us to then study new candidate genes, or perhaps
13 even old candidate genes, for their role in predisposing
14 certain people to adverse effects following
15 vaccinations. There's no reason why we can't do the same
16 thing with a registry of adverse effects in the
17 pharmaceutical arena.

18 Here for a surveillance system, we need safety
19 researchers. Again, those are actually different than
20 epidemiologists and health services researchers, as well as
21 ethics researchers, people who are specially trained to
22 actually grapple with these very troublesome issues.

23 Finally, I want to talk about the development
24 of the electronic health record. Everything I've talked
25 about today has assumed the availability of data in

1 electronic format to collect the evidence, to conduct
2 trials of integrating evidence into health care, to provide
3 information that guides and monitors clinical care, either
4 pop-up alerts when you're prescribing medication, pop-up
5 alerts that may pop up when family history is collected, or
6 pop-up alerts that pop up when high-risk conditions are
7 noted.

8 In fact, none of this exists today, and there
9 is a tremendous need to develop this type of electronic
10 health record. Research actually has to be done in each
11 one of these five areas, how we collect the information,
12 how we process the information, how the data is actually
13 structured in our data files so we can actually study it,
14 and then the security and transmission of that data. It's
15 actually sort of stunning to think that when I used to put
16 in R01s or whatnot, we actually had to address these de
17 novo each and every time. We do not have a dominant
18 Microsoft industry here. Right now we're still at the
19 intersection where most electronic health records are de
20 novo, home-grown systems, even the larger players of the
21 clinical arena.

22 So you can see that I guess what I'm saying is
23 that we need a systematic approach to create the automated
24 files, electronic medical records, the networks of
25 providers who are willing and able to grapple with

1 collecting the evidence of effectiveness, networks of
2 researchers who are willing and able to do studies of how
3 to integrate the evidence into clinical care, and willing
4 and able networks and researchers who are able to do the
5 surveillance that I think will be necessary for
6 pharmacogenomics.

7 To create this system will take a lot of work
8 and a lot of money, and it's not clear who is going to
9 actually lead that charge. To create the system, I think
10 that funding could come from these players. FDA, the CDC,
11 AHRQ, NIH, pharma and insurers I think would all have a
12 role for creating such a system that would allow this to
13 occur. I think that there's also a role for legislation
14 and standards such that the FDA and the CDC and insurers
15 could mandate some of these things. This is clearly out of
16 my field, though, and I don't really want to address this.

17 I do want to leave you with one
18 thought. Again, I am the biggest fan of the ability to do
19 this type of work. I think that some of you might have
20 been thinking, boy, this guy really lives in the land of
21 fairy tales. Where does he get this information
22 from? Where does he get his ideas from? Well, this is, in
23 fact, where I get my ideas from, but there are no
24 challenges, there are only solutions. I actually think
25 that everything I've told you today is a challenge, but

1 it's something that we actually have within our power to
2 solve.

3 Thank you very much.

4 (Applause.)

5 DR. WINN-DEEN: So I want to thank you for
6 being extremely responsive to our charge of please tell us
7 what the issues are and things that we could potentially
8 consider as a committee for areas where we could maybe make
9 some real task force kind of recommendations.

10 Are there questions from the committee for Dr.
11 Davis?

12 Ed?

13 DR. McCABE: What you designed for us was an
14 infrastructure which doesn't exist at this time. The first
15 speaker mentioned that there's the likelihood that this may
16 be driven by litigation, and I teach about pharmacogenetics
17 to our medical students, and I maintain that the
18 diagnostics will be driven by litigation. So that's going
19 to happen much more rapidly, I think, than we will have
20 time to develop the infrastructure that you've discussed.

21 So how would you develop a rapid response when
22 the medical legal industry recognizes that there is a large
23 vein of gold out there that they hadn't recognized before
24 and now create the new cottage industry against this?

25 DR. DAVIS: That's a great question. I think

1 there are two things that can happen. One is there is this
2 Pharmacogenetics Research Network. I think I've gotten the
3 name close enough. That's a wonderful network, one that
4 I'm actually very jealous about. But what really sort of
5 struck me is that there is no network like that for what I
6 was just describing.

7 There is a network for what I was just
8 describing for vaccines, and it was created because in the
9 late '80s there were only three vaccine companies still
10 left in the United States producing vaccines, and the
11 liability that they were facing in the court system, the
12 total dollar amount actually exceeded their total net
13 assets for all the vaccine companies. In response, the CDC
14 actually formed the Vaccine Safety Data Link process that
15 actually now does exactly -- not exactly but pretty much
16 what I've shown you on 5 percent of the United States.

17 So we have shown the capability of setting up
18 these networks. We have something in response to these
19 litigation concerns. The CERT networks were formed, I
20 believe, in a joint effort by the FDA and AHRQ specifically
21 to look at issues of patient safety, and I think that to a
22 large extent they actually have the researchers and the
23 networks that would be able to address many of these
24 issues.

25 Why aren't we doing it? Honestly, it's a

1 matter of money. I think there needs to be a substantial
2 allocation of resources. How about if I stop there? I
3 don't want to start moaning about the small amount of
4 funding that we're able to get for some of these
5 studies. But they are substantially less than the amount
6 we need to actually do this in a systematic way.

7 DR. WINN-DEEN: I wanted to sort of follow up
8 on that question. You described a system of large
9 population-based clinical trials. I really enjoyed your
10 outline, but as I started to think about if you had to make
11 100,000-patient clinical trial to answer every
12 pharmacogenetic question that might be posed, what the cost
13 of that is to the health care system. I'm not going to say
14 which part of the system, whether it's the U.S. government
15 or private that should pay for that, but how do we even
16 begin to grapple with the thought of doing that for all of
17 the drugs that are out there? Do you have any thoughts on
18 how one might prioritize which things you would start with?

19 DR. DAVIS: Would no suffice?

20 (Laughter.)

21 DR. DAVIS: That was the honest answer, but you
22 flew me up here. So just simply to say that I think what I
23 see coming is genetic testing and pharmacogenomics is two
24 things. One is it's really caught the public's
25 imagination, and these sorts of things are being offered to

1 patients already; and it has sort of the stunning ability
2 to bankrupt the system, to either bankrupt the system or to
3 dramatically improve health care. I think if you look at
4 it that way, then actually the cost of these studies is not
5 as much as one might think.

6 I think a lot of the cost is setting up the
7 infrastructure. I mean, most of these patients in the
8 large clinical trials are being seen already and they are
9 being prescribed medication already. The technology to run
10 their gene chips and to collect the information is already
11 there. It's a matter of plugging those pieces together and
12 funding that network to exist, and you then have to
13 actually set up a group of people who are far wiser and far
14 more experienced than I to prioritize that.

15 I say that with my pediatric heart shrinking,
16 because who gets left out in those priority-setting
17 committees? The priority is usually driven by either
18 morbidity and mortality or cost. Those are usually middle-
19 aged to elderly people who are beginning to die of
20 congestive heart failure, stroke, heart attacks, and those
21 are the things where the need is the greatest to do the
22 studies. But I think the priority setting needs to also
23 look at gender-specific effects, look at pediatrics, the
24 very elderly, and whatnot. I should have just stopped with
25 no. How's that?

1 DR. WINN-DEEN: Is there some agency within the
2 government that you would see taking the lead in trying to
3 develop such an overarching plan?

4 DR. DAVIS: I've actually wondered about that a
5 lot because we don't really have a single agency that sort
6 of has public health as its mantle. I think there is a
7 very clear role for the FDA, a very clear role for AHRQ,
8 and actually for what I'm talking about there's a very
9 clear role for the CDC, although this would expand its
10 mandate, and there's obviously the conflict of interest I
11 have in saying that, where I'm doing my sabbatical. I
12 think NHGRI and NIH could play a very strong role as
13 well. I think there actually needs to be an amalgamation
14 of those efforts.

15 DR. WINN-DEEN: Ed?

16 DR. McCABE: So I'll follow up with a question
17 to Tim, because I think one of the expenses is the
18 sequencing. If we can get the testing down, if we can get
19 sequencing down and its cost -- I know there was an RFA to
20 decrease the price of sequencing, and I was wondering what
21 the anticipated trajectory is to get us to the thousand-
22 dollar genome, knowing that it's a guess.

23 MR. LESHAN: Right. We're looking at the next
24 10 years as our focus and we're trying to get it down to
25 that level. Whether or not we'll be able to will really

1 depend on how well we can develop that technology. Based
2 on the progress that we've made over the last 10 years, we
3 think we can get there, but there's still a whole lot of
4 work to be done in order to do that. I think you're right,
5 that if we can reduce that cost, that will greatly enhance
6 this.

7 But there's also the issue about people's
8 receptivity to this. I think the public is very interested
9 in it. But at the same time, I think we do have this
10 problem, an issue that's been around for a long time that
11 Dr. Weinshilboum talked about, how do we break the barrier
12 within the academic and the physician community to make
13 sure that this is something that people really want to
14 invest in and will participate in.

15 DR. McCABE: And a question to Sherrie, then,
16 in follow-up. It would seem that VA would have a
17 population in which to begin to pilot this. Is there any
18 discussion of this in the VA population?

19 DR. HANS: Yes.

20 (Laughter.)

21 DR. HANS: You're absolutely correct that at
22 the conceptual level the VA has the necessary patient
23 population, has the necessary information technology
24 infrastructure, has the necessary research infrastructure
25 and delivery system to be able to do something like

1 that. It is a matter of the additional costs of running
2 such a large-scale research program under current budgets.

3 DR. DAVIS: Could I just follow up, if I
4 might. One of the things I've really noticed is that
5 there's a lot of people really beginning to talk about this
6 seriously because they understand, I think, the costs of
7 continuing to do not only business as usual but that the
8 perceived business as usual within five years will be even
9 magnified dramatically. So I've been really heartened to
10 see people at CMS and the VA and the managed care
11 organizations trying to climb on board the
12 train. Unfortunately, we have train cars scattered
13 around. We just haven't hooked them up and gotten them
14 going yet.

15 I was up at AHIP not too long ago, America's
16 Health Insurance Plans. They're very interested in these
17 concepts. So I think there are a lot of very interested
18 partners. It's just a matter of putting people together in
19 the proper context.

20 DR. WINN-DEEN: We're going to take two more
21 questions, and then we're going to go to break. First
22 Julio, and then Francis.

23 DR. LICINIO: One question related to what you
24 presented, which was very interesting, about large studies
25 that you need to validate this. The issue is who is going

1 to fund those? Because if you go to a more naturalistic
2 setting, like a health care organization or something out
3 there in the real world, the patients are on multiple
4 drugs, and if you're trying to look at the effect of one
5 drug, you really have to get more of a research type of
6 study. Ideally for what you're proposing, it should be for
7 drugs that are established, not trying to look at new drugs
8 that are just coming to the market.

9 So the drug companies are usually not willing
10 to go to the expense to do this kind of study for a drug
11 that's already out there and is selling well and possibly
12 at the end of patent. NIH was the exception, or
13 NIGMS. The categorical institutes should then be a little
14 reluctant to do this kind of large study just for
15 pharmacogenetics because the cost is very high and they
16 don't see the sample collection being worth the cost of
17 several R01s.

18 So do you have any ideas for this kind of a
19 conundrum?

20 DR. DAVIS: Well, I agree with you. I think
21 there are a lot of reasons why people won't
22 participate. In terms of who you mentioned, I think this
23 work is going to have to come from people who are already
24 paying the bill -- i.e., CMS and other insurers -- where
25 they're actually currently picking up the cost, and there's

1 really no good evidence that certain of these medications
2 work in the diverse situations. It is that the medications
3 are actually being used.

4 So I think that it's kind of a perverse
5 incentive, but it's one that's very real and very
6 recognized. So I think in reality that's what we're
7 looking for. What we're looking at now, can we align other
8 things to make that more palatable. I think in terms of
9 some statutory requirements and legislation that would
10 require some of these studies to be done, and the cost
11 could be shared a little bit, I think it's somewhat naive
12 for me to say it but I think that's actually a realistic
13 and probably a fairly, in the long term, beneficial
14 thought.

15 DR. WINN-DEEN: Francis?

16 DR. CHESLEY: Thanks. I just wanted to amplify
17 the dialogue we're having around cost and suggest that I
18 believe that the tipping point here will likely occur when
19 a strong business case can be made. As you've related, we
20 really need infrastructure for the research, and a key
21 component of that research is really going to be cost-
22 effectiveness research, as well as the effectiveness
23 research to be able to demonstrate to those who pay that
24 there's a business case to be made, and therefore it makes
25 sound business sense to take this approach. I think at

1 that point, all the various players will come together,
2 federal and non-federal as well.

3 DR. DAVIS: You know, could I just respond real
4 quick, which is that a lot of times we think of these cost-
5 effectiveness studies as being a home run. But, in fact, I
6 think what they will actually show is that there's a
7 tremendous amount of waste, and that's not nearly as sexy,
8 but I think that's actually what we're dealing with, and
9 that's the business case that needs to be made.

10 DR. WINN-DEEN: Sam?

11 DR. SHEKAR: Just one quick point. There's
12 another trend that's going on in health care, as we know,
13 which is the tremendous growth in the electronic health
14 infrastructure, the underpinnings of health care
15 delivery. Since so much of what you have discussed relies
16 upon fairly immediate and fairly transparent transmission
17 of data back and forth, the costs that are borne through an
18 electronic health infrastructure underpinning may in fact
19 be covered through that type of support. Therefore, as a
20 suggestion for a future speaker, it may be interesting to
21 know what's going on through the Department, through the
22 Office of Dr. David Brailer and some of the work that's
23 being done to support growth of electronic health
24 infrastructure across the medical care industry and health
25 care industry. I just made that as a suggestion.

1 DR. WINN-DEEN: On that theme this morning, as
2 I was getting ready to come down here, there was an
3 interview with Frist and Clinton on bipartisan support for
4 the bill that is before Congress right now to get funding
5 for this program, and I think it might be worth getting
6 someone from the judicial side as well, or the
7 Congressional side, to give us a briefing on where that is
8 as well.

9 I think we'll stop here and take a 15-minute
10 break and come back for the continuation of the session
11 promptly at 10:20.

12 DR. WILLARD: At 10:20 to the minute.

13 (Recess.)

14 DR. WILLARD: While we're waiting to begin, let
15 me acknowledge Sandra Howard, who is joining us today from
16 planning and evaluation at HHS. Thank you for being here
17 and we look forward to your participation.

18 DR. HOWARD: Yes, thank you so much. I'm very
19 pleased to be here. I do work in the Office of the
20 Secretary. My office provides analytic policy support to
21 the Secretary, who is very interested in the issue of
22 personalized medicine, among other aspects of this
23 particular project. My office also provides analytic
24 support to some of the advisory committees to the
25 Secretary, and if we can assist you in your deliberations,

1 we certainly would be happy to look into that. We've
2 already been discussing this with Sarah and other
3 staff. Thank you.

4 DR. WILLARD: Terrific. Thank you very much
5 for being here.

6 Just a word. Everyone here who is taking
7 advantage of Reed's absence, he did tell me the only thing
8 he didn't want me to do today is to embarrass him. So
9 please protect me and we'll try to keep on time as we go
10 forward.

11 Emily?

12 DR. WINN-DEEN: So we're now ready for
13 Weinshilbom Part 2. Now he's going to focus a little bit
14 more on his role as a physician and talk to us about
15 pharmacogenomics in the practice of medicine.

16 DR. WEINSHILBOUM: And what I'd like to do now,
17 and I've now got a lavalier and I've got a really fancy
18 laser here, is to move beyond the sort of Pharmacogenetics
19 101 and begin to talk about the issues which we
20 appropriately have already begun to talk about; that is,
21 the translation of this information into the clinic. But I
22 think we need to step back, and I've called this
23 "Challenges and Opportunities." Dr. Davis had something
24 similar.

25 As I thought about how to organize this, I

1 think it's important to talk about it in terms of the
2 science, and I've divided it into basic and translational
3 science, drug development and regulatory science, and
4 ethical, legal and social science, about which I as a
5 pharmacologist am clearly a novice. But I think it's
6 important to put up a diagram like this which we already
7 have implicitly talked about, and that is eventually what
8 we want to get to is the therapeutic encounter between the
9 physician and the patient when either the physician writes
10 the prescription or, as Dr. Davis said, HAL the computer
11 writes the prescription, whatever we end up with so that
12 the patient has the right drug at the right dose.

13 In general, those of us in academic centers
14 tend to think in terms of academic medical centers, like
15 Mayo or Duke or whatever your personal one happens to be,
16 and a relationship with our funding agency -- it can be
17 American Heart, NIH, et cetera -- and that we will be able
18 to influence this in some fashion.

19 That's a short-sided approach because, frankly,
20 drug development in the United States since the Second
21 World War has focused on the pharmaceutical biotechnology
22 industry, and just as the NIH is the place that
23 predominantly those of us in academic centers look to, we
24 need to think in terms of regulatory agencies, and
25 particularly the Food and Drug Administration.

1 Now, interestingly, the amount of interchange
2 between these groups -- that is, between, say, the NIH and
3 the FDA, speaking totally as a novice, so just as I made
4 the point initially that I spent my life in an academic
5 medical center, I clearly know nothing about this area
6 other than what I found as a tourist dropping in to give a
7 lecture every now and then. But it struck me that these
8 two agencies didn't talk to each other that much in the
9 past. What you're going to hear is that that dialogue is
10 also important, and we're moving forward with regard to
11 those kinds of interactions. That's already been mentioned
12 in previous presentations.

13 So let me begin by pointing out that although
14 our focus has been on translational pharmacogenomics, Dr.
15 Long from the NIH is here, and she would point out that
16 NIGMS has been supporting our research for 30 years, and
17 clearly we need the basic pharmacogenomic research in order
18 to get to the translational research, and they feed off of
19 each other. I think it's important to make that point
20 because Dr. Davis was talking about putting his teams
21 together.

22 Frankly, we have found for our teams, which
23 include molecular epidemiologists, population scientists,
24 clinical investigators, that having basic scientists
25 involved is critically important, because what happens is

1 the basic science runs right by what you're doing. It says
2 goodbye to it and runs right by it. So we need to be sure
3 that the latest developments are incorporated in this, and
4 the whole team really includes all aspects of health care
5 research.

6 I want to come back to the scientific goal
7 because we were just talking about the National Human
8 Genomic Research Institute and what they can offer, and
9 obviously our understanding of the genome keeps changing
10 right beneath our very feet. So the nature of sequence and
11 structure differences in DNA that can have practical
12 implications at the translational interface keeps
13 changing. This is a slide that I keep adding to with
14 regard to the nature of the sorts of genetic variation that
15 will be important and is important in pharmacogenomics.

16 Obviously, the SNPs, the single nucleotide
17 polymorphisms, the insertions/deletions, VNTRs. Gene
18 deletion and duplication I already mentioned with regard to
19 CYP2D6. Increasingly, we are finding large segmental
20 duplications, and I'll actually show you an example in just
21 one second. So the nature of the kinds of assays we have
22 to do keeps changing, and that, Dr. Davis, is why I said
23 you need the basic scientists sitting right there, in
24 person, in the flesh, at the table, because your assays
25 will be out of data mañana. Gene variation resulting in

1 alternative splicing. Whole new areas of genomic science
2 are opening up, and epigenetic or what I like to call
3 pharmaco-epigenetic variation.

4 I'll show you just this one example. What this
5 is showing you is on chromosome 16, a duplication of
6 145,000 base pairs, one of the genes we were studying. The
7 idea of the Genome Project being "complete" is an
8 interesting and ever-changing target, but this area has one
9 of our genes that is 99.9 percent identical, duplicated
10 right in the middle of this duplication of this big chunk
11 of DNA. Well, that really messed up our genotype. The
12 comment was made, what about sequencing? Well, sequencing,
13 even if you're using dye primer sequencing, if you've got
14 instead of two copies of that allele, four copies, and
15 you're trying to interpret your sequence traces, that's a
16 real mess. I won't bore you with the details other than to
17 say the science is changing out there, and we need to
18 remember that the basic science is going to drive this
19 process, too.

20 At the NIH -- and I put this within the context
21 of the NIH Roadmap. So the director of the NIH and the NIH
22 has gone through this strategic planning exercise in which
23 they have given it the usual strategic planning catchy
24 phrases, but the concepts are pretty simple. New Pathways
25 to Discovery means biology is very complicated, and no one

1 has the expertise to know all aspects of it, so you need
2 the kinds of teams that Dr. Davis was talking about at both
3 the basic and translational level.

4 The Research Teams of the Future means that
5 you're going to have to organize the way in which we gain
6 the new knowledge and test the knowledge in new and
7 different ways. Now, I've never done any knockout mice,
8 but if I could do a human knockout, there's really only one
9 gene I want to knock out, the gene for the human ego
10 structure, because, frankly, the biggest barrier to putting
11 these sorts of groups together is who is in charge here,
12 and we need to find ways that we can adequately reward team
13 and social interactions in ways that our current system
14 frankly discourages.

15 Finally, Reengineering the Clinical Enterprise
16 basically is the need for multi-center, multi-group
17 organizations because of just what Dr. Davis was talking
18 about. The power calculations are going to kill you, and
19 no place -- the Mayo Clinic is a big place, but we know
20 that we have to team up with other institutions in order to
21 be able to have adequate numbers of patients to test these
22 hypotheses and determine how we want to move forward.

23 What has happened as a result of -- and I got
24 in a little trouble with Tim about my comment about Francis
25 Collins not thinking up pharmacogenomics. But what's

1 happened as a result of the dramatic changes that have
2 occurred in genomic science is that whereas the examples of
3 TPMT and CYP2D6 began with phenotype and with armies of
4 postdoctoral fellows shoulder to shoulder across the world
5 marching out, they purified the protein and cloned the cDNA
6 and cloned the gene -- I even told you the names of some of
7 them -- got the polymorphism, and that took 15 or 20 years,
8 in today's world we type "NCBI" into our web browser and
9 then you've got the gene sequence. That was what Dr.
10 Honshal spent a year and a half of his life to get.

11 So now we can begin with genotype and go back
12 to phenotype, and one of the complementary strategies
13 that's being used in this area is to very rapidly determine
14 gene sequence variation in individuals of differing
15 ethnicity. Once you have the common variation in gene
16 sequence, then to do the functional genomics to determine
17 which of that variation is functionally significant, and
18 then the really hard part which Dr. Davis was talking
19 about, to determine which of the common variation that's
20 functionally significant is of clinical importance. Those
21 are among the challenges. This is not the only way to do
22 it. Genotype to phenotype and phenotype to genotype are
23 complementary approaches.

24 Let's take a different example. I made an
25 interesting observation myself when I put these examples

1 together. 2D6, TPMT, warfarin, 2C9, VCORC1. I said where
2 has this information come from? There's an important point
3 here, and I'm challenging Walter and Eric because all of
4 this information, all of these chestnuts have come from
5 academic medical centers. They have not come from
6 industry. The challenge, Eric, for industry is to find
7 ways that we can partner with our mutual strengths in order
8 to be sure that in the future industry is making -- I'm
9 being a little provocative here, and that's unusual for me,
10 but let me do it anyway -- that industry is making these
11 kinds of contributions.

12 So the irinotecan example. Irinotecan is an
13 antineoplastic agent, a camptothecin derivative. It
14 inhibits topoisomerase I, and its toxicities are
15 predominantly diarrhea and myelosuppression. This diarrhea
16 is not just something that you take a little Imodium
17 for. This is life-threatening diarrhea.

18 Here's the way that, now going back to boring
19 drug metabolism -- irinotecan itself is a pro-drug. It's
20 metabolized by carboxylesterase to form SN38, which is the
21 active drug, which is itself glucuronide conjugated by UDP
22 glucuronisil transferase, and that gene -- I have to show
23 these gene structures because I love them. This is a
24 really nice gene that I love to tell the graduate students
25 about. It has a whole bunch of upstream exons that are

1 then alternatively spliced in to conserve four downstream
2 exons, and then you get the substrate specificity depending
3 on which of these you set in.

4 Well, the one that metabolizes irinotecan is
5 UGT1A1. That is also responsible for bilirubin metabolism
6 and for Gilbert's syndrome, not disease but syndrome. We
7 now know that that's predominantly due to variable number
8 10 and repeat in the ta-ta box. If you have seven ta's,
9 you have a lower level of activity. This is in the
10 promoter. If you have six, which most people do, you have
11 a higher level in people who are homozygous for seven, like
12 myself. Every time I go in for my physical exam, I'm told
13 by the intern or resident who is doing the exam, well, your
14 unconjugated bilirubin is up a little bit, and it always is
15 when I'm fasting. That doesn't make any difference in most
16 settings, but with irinotecan, it makes a big difference
17 because that's the isoform that metabolizes irinotecan, and
18 if I'm ever treated with that drug, which I hope I never
19 need to be, I know that I will need a somewhat different
20 dose, a lower dose of the drug.

21 This is to get us to the pathways. It's also
22 to do something else. Here's irinotecan. This is from the
23 pharmacogenomics knowledge base, PharmGKB, which is
24 sponsored by the pharmacogenetics research network that I
25 mentioned, and what we're doing is putting a bunch of

1 pathways there. All the little squares that are sort of
2 this purple color are drugs that are metabolized. All the
3 little egg-shaped things are genes encoding proteins that
4 either metabolize the drug or transport the drug, and now
5 this begins to give you some idea of the degree of
6 complexity that we will find ourselves dealing with with
7 most drugs, where the metabolic and transport pathways look
8 like an explosion in a spaghetti factory.

9 So you're going to find that this will become
10 extremely complicated, and the examples that we've used are
11 examples of simplicity. Where the world is going to take
12 us, the real world is going to be much more complex than
13 that. I showed you that because I wanted to be sure that I
14 brought to your attention the fact that the NIH is
15 sponsoring this knowledge base, PharmGKB, where all of the
16 data from the network, and we hope from outside the
17 network, will eventually come together in one place,
18 genotypes and phenotypes. That kind of a database is a
19 tremendous challenge. To try to combine genotype and
20 phenotype, it makes GenBank, with all due respect, look
21 fairly straightforward and simple.

22 So I want to talk about pathways. Having
23 talked to medical students and graduate students forever,
24 I've learned that reiteration is an important part of the
25 pedagogical science, so let's go back to TPMT and let's

1 talk about thiopurine metabolism and metabolic activation
2 pathway, because azathioprine is a pro-drug that's
3 converted in vivo to 6-mercaptopurine, which can be
4 methylated or oxidized. That's kind of what I showed you a
5 moment ago. But 6-mercaptopurine is itself a pro-drug that
6 undergoes a series of metabolic activation steps to form 6
7 nucleotides which are incorporated into DNA, and that's a
8 major mechanism, the major mechanism probably, for the
9 cytotoxic effects of these drugs.

10 I show you this because this is kind of a moo
11 cow/bow wow pathway, really. It's much more complicated
12 than this, but I'm showing you the very simplified
13 pathway. When we first published our data on TPMT, I will
14 tell you that everyone knows that this is the major
15 metabolic pathway. This is actually a minor pathway. I
16 thought about bringing along the line from the reviewer for
17 Cancer Research that said these dumb pharmacologists aren't
18 smart enough to understand that this minor pathway couldn't
19 possibly influence individual variations in response to
20 these drugs.

21 Now, everybody has those sort of letters. I
22 didn't bring it along. What was going on at that time was
23 Lynn Leonard at Sheffield had demonstrated that by
24 measuring 6-thioguanine nucleotides, she could predict who
25 was going to get toxic on these drugs. She met me at an

1 international meeting and she said, Dick, what I can't
2 figure out is we treat these kids with exactly the same
3 dose of exactly the same drug. Some of them will have very
4 high 6-thioguanine nucleotide levels and some of them
5 won't. I said, Lynn, maybe it's because this pathway
6 genetically, if it's impaired, you pump more of the drug
7 down here and you're going to have higher 6-thioguanine
8 nucleotide levels. So she sent us blood samples from 95
9 consecutive children in the U.K. who are in the UKAL, the
10 United Kingdom Acute Lymphoblastic Leukemia trial.

11 We measured the enzyme activity, she measured
12 the 6-thioguanine nucleotide levels. When you got up here
13 to 600 to 800, that's when you begin to have myelotoxicity,
14 and these are the heterozygous individuals. She also had
15 samples -- these are data we published in 1989 -- samples
16 from individuals treated with standard doses of these drugs
17 who developed life-threatening toxicity. Half of them
18 died. She sent us those samples and a group of
19 controls. These were patients with dermatologic disease
20 being treated with azathioprine. Notice we're up in the
21 thousands of picomils for the active metabolite. This
22 person was 26 days after the drug was stopped and he was
23 still above any of the controls on the same dose of the
24 drug.

25 When we published this, we said if this can be

1 confirmed, we can predict and prevent this toxicity, and
2 indeed it's been confirmed, as I mentioned, over and over
3 and over again. But that's to make the point that pathway
4 analysis is extremely complicated, and what you think a
5 priori, just because something is a major pathway, like the
6 xanthine oxase, doesn't mean that's going to swing the
7 variation. So the translational lessons for TPMT, among
8 others, are the importance of having an intermediate
9 phenotype like the 6-thioguanine nucleotide levels. Kids
10 with leukemia are treated with a large number of cytotoxic
11 agents. There are a variety of reasons why they are going
12 to become myelosuppressed. If they have a viral infection
13 while they're on these agents, they will have
14 myelosuppression. But by having the active metabolite, we
15 can sort out those in which it was the TPMT that was the
16 problem.

17 In addition, it emphasizes the difficulty of
18 pathway analysis. So when we design these studies, the
19 mega-study, the 100,000-patient study, we need to
20 understand that it's going to be extremely difficult to
21 fish out what a given genetic variation might be doing of
22 importance.

23 This is just to make the point that the
24 modified central dogma is not gene goes to mRNA goes to
25 protein goes to metabolite, but that we now have genomics,

1 metabolomics, et cetera, and that means that the assays
2 that we have available will have to be very different kinds
3 of assays. So the clinical assays will involve phenotypes,
4 and by that I mean the endpoint, myelosuppression, or the
5 intermediate phenotypes, and those intermediate phenotypes
6 may well be a metabolomic signature. So it may be
7 measuring 10,000 metabolites and using informatics to fish
8 a signature out which at first we won't even
9 understand. But we need to know that during the discovery
10 phase we'll be looking at all kinds of phenotypes between
11 the DNA and what we see in the patient. It's going to
12 become very interesting, but I think we're going to need
13 those different phenotypes.

14 At the clinical level we'll be measuring not
15 just SNPs but also haplotypes, and eventually Tim was
16 already talking about 3 billion nucleotides, and I'll be
17 interested in how our doctors at the Mayo Clinic deal with
18 that when their patients come in with it. Obviously, we'll
19 be talking with Walter in just a moment with regard to the
20 development and validation of these tests, significant
21 challenges which you know a great deal more about than I
22 do.

23 This is just to make the same point I made
24 before. Walter will be talking about it, and I knew he was
25 going to be here, so I used his device as an example. The

1 scientific evolution here, let's think about what I've been
2 saying and what we all know, and Dr. Long, who is in the
3 audience, will be saying. We've gone from phenotype to
4 genotype to a complementary genotype to phenotype, which
5 frankly has accelerated the process 10-fold at least. So
6 we resequence these genes, do the functional genomics, and
7 before we even have the paper off on the resequencing data,
8 we'll be dealing with our clinicians in the breast cancer
9 clinic because they have the DNA to test hypotheses.

10 So the basic science crosstalk with the
11 clinical science, in theory we ought to be breaking down
12 those barriers, and with the right organizational
13 structure, and with the diminished ego structure, we can
14 actually get there. We've gone from monogenic traits --
15 clearly, that irinotecan pathway was there to say we need
16 to be thinking polygenically, and we've gone from single
17 genes and proteins to entire pathways, from single
18 polymorphisms to haplotypes, genome-wide screens, and Tim
19 will eventually give us all 3 billion nucleotides, and from
20 the mom and pop store approach, which is what I've done
21 through most of my career, to high-throughput platforms and
22 groups. We've already talked about all of this. I'm just
23 reiterating themes that Dr. Davis introduced.

24 With regard to drug development regulatory
25 science, I feel obliged to put this up so poor Eric can

1 respond to it. This is not my comment. It's from
2 "Surviving the Blockbuster Syndrome" in Science last year
3 talking about pharmacogenomics and that there has been some
4 skepticism with regard to segregating out different patient
5 populations who respond.

6 Now, when I do my clinical work, I work in a
7 hypertension clinic, even the Mayo medical students, God
8 love them, know that it's beta blocker, diuretics, ACE
9 inhibitors and calcium channel blockers. That's not the
10 question. The question is for whom? Which one will
11 respond? There we're not talking about life-threatening
12 situations all the time, but we're talking about churning
13 the system. So they keep coming back and, oh, it didn't
14 work, and what are we going to do, even if we have the
15 nurses doing it. We know that about half the patients
16 won't respond to any of those drugs.

17 And that brings us back to this little diagram
18 that I showed at the beginning. Clearly, with regard to
19 the drug development process, the role of the Food and Drug
20 Administration and the regulatory science becomes
21 absolutely critical, and I made a joke about this at the
22 beginning, but as a matter of fact it was not a joke. It
23 was true. I have noticed that since Larry Lesko and Janet
24 Woodcock have taken an interest in pharmacogenomics, and
25 I've got one of their papers here, and we'll be hearing

1 from Felix about this later on today from the Food and Drug
2 Administration, that since the FDA has been interested in
3 this area, the pharmaceutical industry's interest has been
4 increased.

5 There are tremendous differences among
6 companies. Please, you can't generalize. But as a matter
7 of fact, there was and remains some resistance to thinking
8 about issues of segmentation of the market as a result of
9 knowing at the front end which patients will and will not
10 respond to a given class or specific drug agent.

11 At the translational science, we already talked
12 about this. The involvement of this science in the drug
13 development process is already going on. I know that. It
14 is increasing. What that says is that all the examples
15 I've given you -- thiopurines, irinotecan, warfarin for God
16 sake, that's the 1930s -- these are all examples of drugs
17 that were out on the market and academic science studied
18 them and came to the conclusion that there were large
19 genetic variations in their side effects or in their
20 therapeutic efficacy.

21 Eventually, a great deal of this science will
22 be built right into the drug development process. That has
23 very significant regulatory and economic implications which
24 I'm not qualified to deal with but which I'm sure we need
25 to address.

1 Clinical trials are going on. Type
2 "clinicaltrials.gov" into your web browser and go and look
3 at the clinical trials, tens of thousands of them, and how
4 many of them have pharmacogenomics built into them at the
5 front end. Remember, you've already spent the money --
6 this is the point that Dr. Davis was making -- to create
7 the infrastructure, to recruit the patients, to get the
8 clinical data together, and you're drawing blood samples to
9 send them off for an SMA-12 or whatever that's called in
10 this day and age. So why don't we make DNA a part of that
11 so that you can either prospectively or retrospectively go
12 back and ask the questions Dr. Davis wants us to ask?

13 Part of the Roadmap was public/private
14 partnerships. Within the Pharmacogenetics Research
15 Network, we have been grappling with that. There are very
16 significant issues of intellectual property and proprietary
17 interests which stand as barriers, and we might as well
18 just put all these issues out on the table so we can talk
19 about them in the course of the day.

20 So we need to find ways that we can not just
21 talk about this but actually find ways to deal with the
22 unique problems of each side so we can deal with it.

23 Finally, legal, social and ethical issues. You
24 know much more about this than I do. Confidentiality is
25 just as big an issue here as it is with all other areas of

1 DNA testing, insurance perhaps a little less so because
2 nobody knows, although we have tried, what TPMT is there
3 for. It's found in bacteria, but we don't have any disease
4 that if you are like that lady whose daughter works at
5 Apache Mall and comes up and asks me about mom's enzyme,
6 who has zero TPMT, we don't know that this means you're at
7 risk for any disease. If we ever find that out, then this
8 becomes an issue. But for many of these variants, that's
9 less of a problem here, although it's still a problem.

10 Finally, what do I mean by "therapeutic
11 activism"? This is not like BRCA1 or 2. If I find that a
12 patient is homozygous for low TPMT, I want to lower the
13 dose of the thiopurine. I can do something right then,
14 either use the drug or don't use the drug, lower the dose
15 or raise the dose so that in this situation there isn't
16 therapeutic nihilism. If there's ever going to be a place
17 where there's therapeutic activism, it is in the area of
18 pharmacogenomics.

19 Finally, the issue that was raised just a few
20 moments ago. This is from the New York Times October 10,
21 2004, "The Genome in Black, White and Gray," and what was
22 the focus? It was entirely on pharmacogenomics. The issue
23 related to the hearings today on BiDil, the drug that is
24 being evaluated for the possibility of being approved for
25 only one ethnic group, for African Americans, is being

1 discussed right here. I heard Francis Collins interviewed
2 on Public Radio about that and heard his comments, which is
3 that this is undoubtedly -- it's not skin color that's the
4 issue but it's the underlying genetic variation, which
5 showed these striking differences that I mentioned.

6 This keeps coming up. This is 2001 in the New
7 England Journal of Medicine, where there were articles
8 about ethnic differences and response to angiotensin-
9 converting enzymes, and two editorials taking the kinds of
10 diametrically opposed points of view that this committee
11 knows much more about than I do. Here we are in 2003, New
12 England Journal of Medicine, and it was deja vu all over
13 again. We were having exactly the same discussion, and I
14 come back to this just to point out that this common
15 variant which is found in Caucasian Americans is not found
16 in Asians.

17 When I was a visiting professor at the National
18 University of Singapore, where the population is 80 percent
19 Chinese, they said, Dr. Weinshilboum, this is a problem we
20 see only with these European kids. What's the deal here
21 anyway? They actually have developed the testing to use
22 for Europeans. They clearly were devoted hematologists and
23 oncologists that came to Minnesota in February to learn the
24 techniques.

25 Finally, this issue of health care professional

1 educational. I heard what Dr. Davis said. The implication
2 was pretty clear, and I will have to say that in a review
3 that Li Wae Wong and I wrote in Nature's review of drug
4 discovery, we said that this would be an important part of
5 what we need to do. We were roundly pilloried by the
6 sociologists at Cold Spring Harbor. I continue to believe,
7 because what I've seen is, at our place the
8 gastroenterologists, who see a thousand new inflammatory
9 bowel disease patients per year, have totally embraced
10 TPMT; that in hematology/oncology, the resistance is
11 basically one that in that community toxicity is their
12 business. Push the patients to toxicity.

13 So we need to realize that there are sociology
14 differences within medical subspecialties, too. But if
15 gastroenterologists are educable, I think there's hope for
16 everybody.

17 (Laughter.)

18 DR. WEINSHILBOUM: Finally, I want to end where
19 I began, by pointing out that this is only one factor among
20 many factors that influence individual variation in drug
21 response. The clinical goals are ones that no one can
22 argue with. No physician wants to harm his or her
23 patient. We all want to maximize efficacy of these drugs
24 that come out of the therapeutic revolution, and it would
25 be much, much cheaper if, at the front end, we could select

1 the responsive patients. Genetic inheritance is only one
2 factor in the drug response phenotype, but the pace of our
3 understanding is increasing dramatically, and the goal has
4 already been demonstrated. We have examples out there that
5 make it very clear that this will benefit our patients.

6 So the vision remains the same. Thank you very
7 much. I hope I haven't gotten us too far off time.

8 (Applause.)

9 DR. WINN-DEEN: I want to thank you very much
10 for that enlightening talk and throw the floor open for
11 questions from the committee, and I recognize Deb as the
12 first.

13 DR. LEONARD: This actually isn't directed --
14 it's inspired by your talk. But it's a question to the
15 FDA. Why doesn't the FDA require TPMT testing before
16 mercaptopurine can be used in a patient? Is that within
17 the purview of FDA to have that kind of labelling
18 requirement?

19 DR. WILLARD: Felix, do you want to try that
20 one?

21 DR. WINN-DEEN: Felix, can you come to the
22 mike? Feel free to sit at the table.

23 DR. FRUEH: Well, I was not at the FDA at the
24 time this was actually discussed in the advisory
25 committee. It was the first case that came to the FDA from

1 the perspective of personalizing medicine in a drug label,
2 and it's my understanding that at the time, although the
3 evidence scientifically was pretty solid, the advisory
4 committee didn't feel compelled enough that actually a test
5 needs to be done and is required. So we settled to provide
6 the scientific information in the label so that I would say
7 an educated physician at least has the information and can
8 move forward and do the testing.

9 Moreover, the issue at the time also was that
10 there was no commercial test available. So that was
11 another consideration that the committee felt was an issue
12 that needs to be addressed for information that is going to
13 be in the label if a test needs to be done. An example for
14 it would be like Herceptin, where a test is required for
15 the prescription of the drug, and at the time that was
16 approved, a test had to be commercially available.

17 DR. LEONARD: But it's kind of a chicken and
18 egg problem. Until the FDA requires it, then no one is
19 going to develop it. I don't think, since FDA is directed
20 to look at safety and efficacy, that it's right, if you
21 want to use the term "right," for the FDA to make excuses
22 why not to protect the percentage of patients who get this
23 drug and die from it.

24 DR. WEINSHILBOUM: Maybe I can comment since I
25 had the opportunity to be at both of the public

1 hearings. I think it's fair to say that the committee
2 attempted to approach this in a measured and judicious
3 fashion. TPMT I think was the first example that had been
4 brought forward, probably because of the dramatic effects
5 of the toxicity in the population at which they were
6 looking, which in this case was purely children with acute
7 lymphoblastic leukemia of childhood. They were not
8 examining the off-label applications in inflammatory bowel
9 disease. So we need to be quite clear what was being
10 discussed.

11 The concerns that were expressed -- and I want
12 to be very careful because it probably must be clear to you
13 that I can be enthusiastic about things. So I want to be
14 measured -- were those of the hematology/oncology
15 community, that they were balancing the possibility of
16 worrying the physicians, and remember that we can now cure
17 a previously fatal illness, and they were worried -- and
18 I'm trying to express what they expressed. It's not a
19 position that I agree with, but I'm trying to be balanced
20 here.

21 The majority of the patients being treated,
22 that the physicians might cut back on the thiopurine dose
23 and that the net outcome would be increased mortality. I
24 think that was a reasonable perspective. I did find it
25 interesting, because there is this concern, that the public

1 won't understand or resonate to these sorts of issues, and
2 I think it's fair to say the most vigorous advocate for
3 testing were the parents of the children with leukemia, the
4 patient advocates. One of the moms there had a child who
5 had myelosuppression, and I think it's fair to say she was
6 fairly vociferous in her position.

7 But where the committee came down finally was
8 to recommend informing in the label. The information would
9 be included in the label, but to not mandate it.

10 DR. LEONARD: But we've already clearly
11 demonstrated that physicians don't understand
12 genetics. That's published in the literature
13 repeatedly. So you're putting out there information in the
14 dark, hoping that someone will do something with it, and
15 that doesn't seem to be a very effective approach.

16 DR. FRUEH: Well, I agree with you to the point
17 that we also need to make sure that what we put out there
18 can actually be applied in the clinic. So it's not just
19 about providing the information but it's about providing a
20 consequence of the information. So in other words, Dick
21 mentioned the irinotecan example, for which we had an
22 advisory committee meeting in November last year, where we
23 are in the midst of updating the label because there is
24 actually toxicity that is prevalent in a much higher
25 frequency than for TPMT, where people that have a certain

1 genotype with a prevalence of 10 percent in the population
2 have a 50 percent risk of experiencing toxicity.

3 The question is, however, what are you going to
4 do about the other 50 percent who do not and might benefit
5 from the drug? So you need to be very careful of not
6 excluding patients that are willing to take the risk of
7 treatment because they have a severe disease if they want
8 to do so. So I think it's about, at this point in time,
9 providing information and to make an educated decision
10 about treatment. I don't think we're at the point yet
11 where we have sufficient information to, in every case,
12 determine what the actual treatment should look like.

13 DR. WINN-DEEN: Can I ask Dr. Weinshilboum a
14 follow-up question? Are there actually in the oncology
15 community clinical practice guidelines that the
16 hematologists have put together on how to use TPMT testing
17 and how to adjust dose based on those results?

18 DR. WEINSHILBOUM: Of course, this committee
19 was a pediatric hemonic committee. So what we were hearing
20 there was their perspective. It's my understanding that
21 those sorts of guidelines -- and people taking a leadership
22 role here are Mary Relling at St. Jude through the
23 pediatric hemonic community -- that those guidelines either
24 are being developed or certainly are being discussed with
25 regard to exactly how they should move forward.

1 I think in fairness, it was a lack of clearly
2 defined guidelines and the kind of systematic clinical
3 trials that might guide the practicing physician that was
4 another of the concerns that was expressed. So going from
5 the basic through the translational to actually developing
6 practical information for the physician has proven to be a
7 barrier, even for some of these more well-developed
8 examples. I think that we need to be fair and realistic
9 here and realize that we're just feeling our way into the
10 translation of this information into the clinic.

11 DR. LEONARD: But didn't you say that Mayo has
12 guidelines for how to dose in response to the TPMT
13 genotype?

14 DR. WEINSHILBOUM: Mayo has the test available,
15 and the homozygous low individuals either are not treated
16 with the thiopurines or are treated with one-tenth to one-
17 fifteenth the standard dose and are monitored. The bigger
18 challenge and the one that remains controversial are the 10
19 percent of a European population that is heterozygous and
20 has intermediate activity. It's fair to say that there is
21 no consensus at present that I'm aware of -- Felix may be
22 aware of one -- with regard to the appropriate algorithm
23 for dosing those patients. In general, the clinical
24 studies have looked at outcomes. They've said actually
25 these patients do a little better, although they have a

1 little more toxicity for most diseases that are being
2 treated.

3 So it is that intermediate stage between
4 demonstrating that the polymorphism is important. For
5 irinotecan, it's *28 UGT1A1 that has the tata box, and then
6 developing clinically useful practical guidelines. That's
7 not the sort of study that in the past the National
8 Institutes of Health was all that enthusiastic about
9 supporting. These are generally old drugs, so the drug
10 companies are less than enthusiastic about supporting those
11 studies also. We come back to what Dr. Davis was talking
12 about. How do we actually develop practical, useful
13 information in the real world? I think that's going to be
14 an interesting challenge for all of us, and I would assume
15 we'll be talking about that through the rest of the day.

16 DR. WINN-DEEN: Julio?

17 DR. LICINIO: Dick, I may be misquoting someone
18 horribly, but Max Planck in quantum theory had this very
19 famous saying where he said that the current generation was
20 not going to understand it and they just had to die, and
21 then the new group would come.

22 DR. WEINSHILBOUM: My graduate students say
23 that about me every day.

24 (Laughter.)

25 DR. LICINIO: So do you realistically think --

1 and I'm not sure about this -- that people who are out
2 there in the trenches practicing are going to then start
3 requesting TPMT or whatever test it is to adjust their
4 therapeutic decisions? Do you think the current generation
5 is trainable and able to make that kind of conceptual
6 paradigm shift, or we just have to train young people and
7 hope that one day they'll take over?

8 DR. WEINSHILBOUM: As someone who clearly is of
9 the geriatric generation, I like to think that we are still
10 educable. My facetious comment about gastroenterologists
11 notwithstanding, the fact of the matter is we have no
12 choice but to train the current generation of health care
13 professionals. As a matter of fact, I've been quite
14 impressed, Dr. Davis' comment notwithstanding and one that
15 I heard stated a good deal more vociferously at Cold Spring
16 Harbor, that physicians are educable.

17 I have to tell Felix that I made a presentation
18 for our internal medicine group about irinotecan and was
19 talking about the tata box and UGT1A1, and I got done, and
20 someone of my generation, one of my colleagues came up to
21 me and said that was wonderful. What the hell is a tata
22 box anyway?

23 (Laughter.)

24 DR. WEINSHILBOUM: So we have a vocabulary
25 problem that we have to overcome. But as a matter of fact,

1 this is not a vocabulary problem that is insurmountable,
2 because when I was in medical school, nobody knew what a
3 tata box was either. So my answer is that I actually have
4 great confidence that if we can convince physicians that
5 this is important for their patients, it will
6 happen. There is a commercial test for TPMT which is
7 available, but still I think it's fair to say, Felix, that
8 it's not being all that widely applied.

9 DR. WINN-DEEN: Ed?

10 DR. McCABE: Two points, both in follow-up to
11 Deb and Julio but directed to the FDA. One is this issue
12 about who is reviewing. If physicians don't get genetics,
13 then you have people reviewing who may not get
14 genetics. You have some pharmacogeneticists there, and my
15 degree is in pharmacology, so I'm not saying anything
16 negative about pharmacogeneticists. But are there any
17 geneticists on those review panels when you're dealing with
18 pharmacogenetics?

19 DR. FRUEH: Yes, more and more. I'm heading up
20 a group in the Office of Clinical Pharmacology and
21 Biopharmaceutics that is dedicated to genomics, and I will
22 be talking about this a little bit in the afternoon. But
23 we are realizing that there is a lack of expertise, and we
24 are reacting to it. A lot of expertise already has existed
25 at the time that TPMT was discussed, and Larry Lesko and

1 others certainly were leading the way. But it definitely
2 needs more attention. I agree with you.

3 DR. McCABE: I would just argue that even
4 though this is a drug used in pediatric
5 hematology/oncology, when you have the parents asking for
6 it, when you have the hematologist/oncologist not
7 understanding the genetics, I would just hope that the
8 panels could be constructed in a way that there will be a
9 knowledgeable review rather than a naive review.

10 DR. WINN-DEEN: James?

11 DR. EVANS: I need to borrow Ed's
12 microphone. Mine isn't working. I should probably take a
13 hint.

14 I was just wondering in the context of Emily's
15 introductory remarks about what the catalytic factors are
16 that will really propel this kind of information into the
17 mainstream. In that context, have there not been lawsuits
18 brought by patients? You cite patients who have suffered
19 great harm or families that have had deaths. I'm
20 surprised, and I would think that a single such case would
21 have a catalytic effect.

22 DR. WEINSHILBOUM: I'll let Felix answer, but
23 actually, to this point, I am unaware of any such case.

24 DR. FRUEH: Yes, me neither. But actually, we
25 do hear more and more. I heard it yesterday at a

1 presentation at the FDA. I've heard it in very strong
2 words at the conference I attended on Monday about targeted
3 therapies.

4 DR. EVANS: I think when attorneys catch on, it
5 could change the base.

6 DR. McCABE: I've somewhat and only semi-
7 facetiously said the way we could propel pharmacogenetics
8 into daily practice of medicine is not to speak at medical
9 conventions but to speak at the bar associations.

10 DR. WINN-DEEN: Muin?

11 DR. KHOURY: I have a question that starts with
12 TPMT in relation to leukemia treatment but sort of uses
13 that as a genetic example for sort of the value added of
14 pharmacogenomics in practice. A couple of years ago I read
15 an article by David Venstra from University of Washington
16 that was talking about the cost effectiveness of
17 pharmacogenomics in general, and he used I think TPMT as an
18 example, and he had some nice graphics which I keep in
19 mind.

20 But here's the gist of the argument the way I
21 understand it. Of course, we know the biology of TPMT in
22 relation to treatment, but there are two sort of opposing
23 factors. If the allele frequency is very rare, and I'm not
24 sure what we're dealing with, half a percent or maybe 1
25 percent of the population --

1 DR. WEINSHILBOUM: One out of 300 Caucasians is
2 homozygous, 10 percent of the population is heterozygous.

3 DR. KHOURY: So I guess he was modeling the
4 homozygous frequency. He showed that there is -- he did
5 some sensitivity analysis on cost effectiveness, and he
6 showed that the cost effectiveness, the way it would turn
7 out, it's very sensitive to allele frequency. So even a
8 drop from 1 percent to 0.3 percent, depending on the
9 genetic test cost, et cetera, it would make it from a
10 population perspective not very cost effective. So that's
11 on the one hand.

12 On the other hand, the question is the balance
13 that I think he raised and other people always raise is, is
14 there any other non-genetic way to try to get at the same
15 thing? In other words, if you are monitoring the levels of
16 the drug and you might be able to find out that a person
17 already spiked and it's very high, maybe it's too late -- I
18 don't know enough about the pharmacology of 6-MP and TPMT,
19 but the question is, which is a genetic one, is there any
20 value added for using a pharmacogenomic test from a
21 population perspective if you can monitor the levels of the
22 drug and the toxicities rather than use an expensive test
23 to basically screen the whole population, especially if the
24 prevalence of the genotype is fairly rare?

25 DR. WEINSHILBOUM: I had no intention of this

1 becoming a TPMT symposium, so please forgive me. It is a
2 fairly dramatic example, and it serves to raise a series of
3 issues, and I think it's only within that context that it's
4 of value here.

5 With regard to the sensitivity analysis, all
6 I'll say is that I received a request from the National
7 Health Service of the U.K. They're setting up genomic
8 testing for TPMT and wanted standards from us. So some
9 group that is looking at this from that perspective is
10 already moving in that direction.

11 Number two, I mentioned to Tim during the break
12 that the patient who I got the call about two weeks ago, a
13 24-year-old young man, in this case with inflammatory bowel
14 disease, has basically destroyed his bone marrow, and
15 they're looking at a bone marrow transplant as the only way
16 to retrieve this patient. So one has to look at not just
17 the cost of the test but the downstream. I will just say
18 that at one hospital that I'm aware of, a 4-year-old child
19 was hospitalized for four months in isolation with
20 recurrent platelets, red cells, et cetera, and finally
21 survived. The cost of the hospitalization was about a half
22 a million dollars.

23 So I think it's those sorts of concerns that
24 have driven the National Health Service in the U.K. to be
25 thinking along these lines, and obviously I have no stock

1 in any company that sells TPMT testing, so that's not the
2 purpose.

3 The other question, though, is an interesting
4 one, and that is why not just measure some other phenotype.

5 That is, the white blood count. That is what we heard,
6 Felix, as some surrogate for the genotype. In this case,
7 myelosuppression. It happens very rapidly with TPMT.

8 But when I put this in the context of my
9 activities as a poor benighted internal medicine doctor,
10 when I prescribe a drug which I mentioned was in the old
11 original Goodman and Gilman, digitalis, William Withering
12 -- now we're really going back -- one of the problems with
13 digitalis is that in a patient with low potassium, I can
14 induce cardiac arrhythmias. So I have a choice when I
15 prescribe digitalis in the hypertension clinic. I can
16 either measure the potassium or I can administer the drug
17 and see if the patient develops PAT with 2 to 1 block,
18 which is a good surrogate endpoint for digitalis toxicity.

19 I will have to tell you that I generally
20 measure the potassium first, and if I see the PAT with 2 to
21 1 block I know I probably made an error, and the test cost
22 will go down. So that kind of an argument which I hear
23 repetitively is Tim drives down the cost of genetic testing
24 and we have all 3 billion nucleotides on everyone will
25 become a moot issue anyway. So, as a matter of fact, in

1 the tradition of medicine, where we learn how we can
2 prevent the adverse effects of drugs even so widely used as
3 Digoxin, I really find it difficult to understand some of
4 these arguments that are made. But I'm from Minnesota.

5 DR. WINN-DEEN: Okay, one more question, and
6 then we have to move on.

7 Hunt?

8 DR. WILLARD: Well, this might serve as a segue
9 into the next two talks. But all the examples you've
10 spoken about, which serve as excellent examples, is really
11 pharmacogenetics, not pharmacogenomics, and you made that
12 point. So if we have these challenges and difficulties
13 with demonstrating clinical efficacy, difficulty with
14 translation and adoption by the clinical community, for a
15 single gene where we know exactly what to look for and
16 exactly what in principle to tell physicians to do, give us
17 some insight into the difficulties when we're actually
18 looking at hundreds of variants around the genome that we
19 may not actually understand the mechanisms of but we'll
20 have solid evidence of their interrelationship and
21 combination and the effect that those would have on drug
22 response. If your colleague at the Mayo doesn't understand
23 what a tata box is, what's going to happen when we're
24 dealing with SNPs that are spread hither and yon around the
25 genome?

1 DR. WEINSHILBOUM: I can tell I'm going to get
2 in big trouble with the CEO of Mayo, who probably doesn't
3 know what a tata box is either. But the bottom line is
4 this: These demonstration projects are very useful to roll
5 out on the road to stimulate the kinds of discussion of
6 issues that we're having here. I put warfarin up there for
7 a very good reason. It's not just CYP2C9. It's beginning
8 to be much more complicated than that. Probably there's an
9 apolipoprotein that shows the genetic polymorphism that's
10 involved in transport of Vitamin K into the hepatocyte. So
11 we probably will have three or four different genes we'll
12 have to examine in order to begin to narrow down the
13 beginning doses for warfarin.

14 If we could do that, though, if we could do
15 that, we would save a lot of money for the system, and a
16 lot of morbidity and mortality. So the fact of the matter
17 is we need TPMT and 2D6 to make the point. They in essence
18 are the Huntington's disease or the cystic fibrosis
19 equivalents in diagnostic medicine on the pharmacogenomic
20 side. They get a little boring after a while, but
21 nevertheless they highlight the issues.

22 Where we're going, though, I think is where you
23 have implied. It will be haplotypes scattered across the
24 genome, and eventually 20 or 30 genes for many
25 drugs. That's why I made my spaghetti factory explosion

1 analogy and showed the pathway for irinotecan. I teach
2 medical students every day, and graduate students, God
3 bless them. I really have great confidence that if this
4 information will eventually be made cost effective because
5 of the kinds of technology advances that Tim and his
6 colleagues do, that it will find its way into medicine, and
7 we have to find a way to validate it to prove to our
8 colleagues that it truly will help them care for their
9 patients, and I have every confidence that actually it will
10 become a standard part of medical practice.

11 What we want to do is to accelerate that
12 process, and we're having to learn from TPMT and 2D6 and
13 irinotecan as we go.

14 DR. DAVIS: Just a very brief follow-up. I
15 think that to the extent that this are illustrative
16 examples, they're very good ones. I think the AmpliChip
17 example is a really great one because it's a wonderful chip
18 and it's gone through licensure, but I think that there
19 will be a lot of resistance to its use because a lot of the
20 clinicians are going to say show me the evidence that my
21 use of this chip is actually going to improve
22 outcomes. That's what we really need. The biologic
23 underpinnings are very well known. It's tons of fun to
24 read about. But I think the clinicians will hold us to the
25 standard of show me that it either cuts costs or makes my

1 patients happier or improves outcomes, or some mixture of
2 those, and there's nothing ongoing to do that right now.

3 DR. WINN-DEEN: Okay. I want to thank everyone
4 for the lively discussion. I think we need to move on or
5 we're never going to get through the whole realm of
6 perspectives that we're trying to cover today.

7 The next section is designed to give us some
8 perspectives from industry. It's my pleasure to introduce
9 two gentlemen that I have worked with in the past, and I
10 know that they're both experts in their field and will
11 provide us with some really good insight into the way the
12 folks in industry look at this issue and what they're
13 trying to do about it.

14 The first talk will be from Eric Lai. Dr. Lai
15 joins us from GlaxoSmithKline. He's the vice president for
16 research and has been involved heavily in the genetics and
17 genomics efforts within GSK to integrate it both into the
18 discovery process as well as looking at how to integrate it
19 into the clinical trial process.

20 Dr. Lai?

21 DR. LAI: Thank you. Good morning, everyone.

22 First of all, I would like to thank the
23 committee for inviting me. Second, a disclaimer. I
24 certainly do not speak for the industry, nor do I speak for
25 GSK in general. These are the slides that myself and a few

1 of my scientific colleagues put together. Third, after
2 Richard's excellent talk this morning, the two talks, I
3 think I can go home now.

4 In the next 10 or 15 minutes, what I'm going to
5 do is instead of sticking to my talk to cover some of these
6 areas, what I'd like to do is try to focus on some of the
7 topics that either were not covered in this morning's talk
8 or answer some of the questions that have been brought up.

9 First of all, just a quick introduction of the
10 genetic research in GSK. In 1997, GSK formally established
11 genetic research as a separate functional line in
12 R&D. What that means is that out of all the major
13 pharmaceutical companies, we're the only one that has a
14 separate division, a genetic division within R&D, and Allen
15 Roses is the head of that. Now, that has a major impact on
16 the research because we have about 600 people worldwide
17 that are dedicated to genetic research.

18 The important thing that was mentioned a few
19 times, and also this morning in Dr. Davis' talk, is that in
20 order to do pharmacogenetics, you have to have the
21 phenotype and the DNA samples. At GSK, we collect
22 individuals in all of our clinical trials, Phase I, II,
23 III, postmarketing surveillance. A number of other
24 pharmaceutical companies have started to do this, but not
25 all of them. But this is important. Without the DNA,

1 you're not going to be able to do the pharmacogenetic
2 studies. Right now, there are about 20-plus
3 pharmacogenetic projects at GSK in different stages, from
4 Phase I all the way to postmarketing surveillance.

5 Now, before we talk about pharmacogenetics, it
6 is important to understand the current drug development
7 process and how it affects pharmacogenetics, and why is
8 pharmacogenetics important. Currently, in order to get a
9 drug approved, you do Phase I study to make sure the drug
10 is safe, Phase II to demonstrate that it's effective in
11 certain populations, and in Phase III, with a much bigger
12 collection of patients, to demonstrate that indeed you can
13 replicate this in a large population, meaning in the
14 neighborhood of a thousand or a few thousand.

15 That's how you approve a drug. Now, most drugs
16 are effective only in a majority of patients, not
17 everybody. This is not something that's new. It's been in
18 the public domain and published way back in 2001. These
19 are just different groups of drugs in different diseases
20 with respect to their percentage of patients where they'd
21 be effective. More importantly, all drugs have side
22 effects. There are no drugs that I can think of where if
23 you take the wrong dose or in certain individuals that do
24 not have side effects, and some drugs indeed produce a
25 major adverse reaction in very small subsets of

1 individuals. This is reality. So what has changed?

2 Here I'm trying to demonstrate what types of
3 pharmacogenetics I'm talking about. Now, this is very
4 important, because everybody talks about pharmacogenetics,
5 but what exactly are we talking about? Here I show a
6 number of hypothetical responses versus drugs with major
7 adverse reactions. On the Y axis, this is the percentage
8 of patients who will respond to certain molecules of
9 certain drugs, and on the X axis is the percentage of
10 patients with major adverse reactions.

11 Now, the first group would be up here. This
12 would be everybody's dream drug in that it would be
13 effective in everybody, no side effects
14 whatsoever. Unfortunately, as far as I know, nothing like
15 this really exists in reality. Then the second group is
16 down here. These are the drugs that fail in that either
17 they have no efficacy whatsoever or they have some efficacy
18 but their major adverse reaction is so high that you would
19 not carry on into the Phase IIb or Phase III. As a matter
20 of fact, most of the molecules that we put forward, 90 to
21 95 percent, belongs in this group.

22 This is the group where PGx, pharmacogenetic
23 studies, are not really necessary, because they are
24 effective in the majority of patients and there is a very
25 low percentage of patients with major adverse reactions. A

1 lot of the over-the-counter drugs fit into this group. So
2 most people do quite well on Tylenol. Some people using
3 Tylenol does not work too well. They have to use
4 ibuprofen, for example. For myself, Tylenol works very
5 great, an excellent drug. But if I take two ibuprofen,
6 I'll be on the floor now, and I've done it. So certain
7 people react very nicely to other drugs, versus others.

8 Now pharmacogenetics is not necessary for that
9 group of drugs because basically you can take it, it's
10 cheap, a couple of cents, and if it doesn't work, it's
11 okay, you recover, a few hours of stomach upset, not a
12 major deal.

13 This is the group where efficacy
14 pharmacogenetics is important. In this group, where you
15 have a subset of patients that are very effective, and the
16 side effects are in the percentage that it's okay for the
17 general population, but it will be very important for that
18 subgroup of patients. A lot of cancer drugs fit into this
19 group. So, for example, Herceptin.

20 Lastly, this group are drugs that are effective
21 in a majority of the population, but they also have pretty
22 high percentage of adverse reactions. This is the adverse
23 reaction pharmacogenetic studies. So basically when you
24 talk about pharmacogenetic work, there are basically only
25 two groups of studies, the efficacy or the adverse

1 reactions. These two groups are the pharmacogenetic
2 studies that we are talking about.

3 Now, what we are dealing with basically is
4 looking into the risk versus the benefit ratio. What we
5 are saying is that this group, the risk/benefit ratio, the
6 benefit is so high and the risk is so low that it is okay,
7 and we're trying to use pharmacogenetic studies to increase
8 the benefit/risk ratio so that it will go up this way or go
9 down this way, to get into this ideal situation. That's
10 what we're talking about.

11 To address one of the questions that Richard
12 brought up in the last talk about market subsetting and how
13 pharmacogenetics is going to kill the idea of blockbusters,
14 I think that is a myth in that when people talk about major
15 drugs and blockbusters, they don't talk about 100 percent
16 of the market share. No drug really, very few drugs, have
17 100 percent of the market share. You don't need to have
18 100 percent of the market share in order to be a
19 blockbuster, which is by definition a billion dollars.

20 For example, Herceptin is, by definition, a
21 blockbuster, because it is I think in sales over a billion
22 dollars, yet it's only effective in 25 to 30 percent of
23 patients. So it is a myth that you need to have all of the
24 market share in order to achieve that. A pharmacogenetic
25 project just increases the benefit/risk ratio.

1 Now, just a quick slide on how do we exactly do
2 pharmacogenetic studies. You have to start off with a
3 whole bunch of markers. It would be genetic markers, it
4 could be gene expression markers. You have to collect well
5 characterized patient samples from the patients and the
6 controls for all of your clinical trials so that you can
7 have tissue and DNA, and usually, depending on which phase
8 you're in, you're talking about a few hundred to a few
9 thousand, and you determine the differences. You do the
10 experiment -- it may be a genetic experiment, a genomic
11 experiment -- to compare the genetic profile of the
12 patients and control, and analyze the data, compare the
13 differences, and then you come up with your answer.

14 In response to one of the questions earlier, I
15 think that scientifically we are there. I do not believe
16 that we need to get down to the thousand dollar genome and
17 sequence everybody in order to achieve
18 this. Scientifically, we're there. The problem is that
19 there are a lot of other factors that affect the
20 application of pharmacogenetics to medicine.

21 So these are some of the potential benefits
22 that we can think of PG to health care. It will increase
23 the impact and change this benefit/risk ratio, and then we
24 can target a group of individuals most likely to benefit
25 from the drug and not experience adverse reactions. So,

1 for example, Herceptin. As a pharmaceutical company, we
2 think that it will lead to a more evidence-based drug
3 development approach, because for the ones that will not
4 respond to a certain drug, it will give us a means to go
5 into the pathway to ask why did they not respond and fill
6 the gap between the current drug development practice to
7 increase the safety and efficacy of medicine.

8 Now, I'm just going to go through three very
9 quick examples. In looking at the agenda before we
10 started, I picked examples that I thought would be covered
11 by the time I gave my talk. Indeed, two of them are
12 already covered extensively. The first example is HER2
13 testing. HER2 is an oncogene that is over-expressed in
14 about 25 to 30 percent of breast cancer
15 patients. Herceptin is the monoclonal antibody that binds
16 specifically to this target. So you want to test first to
17 make sure that your patients over-express HER2, and then
18 you treat it. So it's a standard approach of using
19 Herceptin.

20 Example number 2, TPMT, to test or not to
21 test. This was already covered, so I'm not going to go
22 through this, but I have the same question that was asked
23 just a little while ago in the last Q&A session. I was not
24 in this public meeting, but scientifically, as a scientist,
25 if you look at this information, it is so compelling. You

1 asked why are we not testing this? What hope do we have in
2 coming up with 20 SNPs, haplotype profiles, in order to get
3 it to test? Because scientifically, it's a great example.

4 So these are some of the things that we can
5 think of, low cost or availability in the commercial
6 world. I think that's already now commercially
7 available. I don't know the cost of this. This could be
8 one of the factors. Change in practice could be a factor,
9 because no longer are you asking the doctors to tell the
10 patients to take two of these and call me in the
11 morning. You can't do this anymore because you have to do
12 the test first in order to prescribe.

13 Lack of physician awareness. Well, if you just
14 put it into the drug label, I don't know how many of you
15 have actually read the drug label for TPMT. It is
16 enormous. How many doctors are going to actually read that
17 label and say, oops, in line 39 it changes. Now it tells
18 you that we're recommending testing first. I mean, come
19 on, that's silly. This is one of the questions that we
20 addressed this morning. Is it really a lack of knowledge
21 in the physician?

22 The last example is the P450 testing. That has
23 been around for about 50 years now as far as the
24 biochemistry is concerned. The molecular basis has been
25 known since the 1980s. A few examples have been talked

1 about this morning. So why have they not really been taken
2 into pharmacogenetics and clinical practice? Well, it
3 could be that it's a complicated gene family and the assays
4 are difficult, and there's a limited awareness in the
5 doctors. But I think that most importantly, it is how to
6 get it. You have to have a place for people to order these
7 tests, and more importantly, what do you use as a
8 prescription decision? Meaning that in order for P450 to
9 have a good clinical application, you have to have
10 interpretations.

11 I just took this out of the Quest Diagnostics
12 report on 2D6 and 2D19, and this is the one from
13 LabCorp. Now they basically tell you if you test for 2D6
14 in this case, what are the drugs that are effective and how
15 you should deal with it. So you have to have this kind of
16 comprehensive information for the doctors. Without this,
17 it's going to be very hard for it to be applied.

18 Another disclaimer. My wife actually works at
19 LabCorp, just to make sure everybody understands the
20 potential conflict of interest.

21 So lastly, what I want to talk about is that in
22 order for PGx to be useful, you really have to look at the
23 scientific part, and that is what the physicians perceive
24 as the benefit; and then for the rest of the general public
25 to be ready to adopt it. You go through basically from a

1 scientific discovery to a validation to a demonstrated
2 utility into routine clinical tests. Of the three examples
3 that I've talked about, Herceptin would be up here in that
4 it's perceived to be a very high benefit by the physician,
5 everybody is ready to adopt it, it's being used, and you
6 test first and treat later. P450 I would think would be
7 somewhere around the middle. TPMT I think scientifically
8 is very high, yet there's a barrier.

9 Now, as far as barriers are concerned, it does
10 not take a whole lot of people in order to kill this. All
11 you need is a very small percentage of individuals to come
12 up with other factors that can inhibit the application of
13 novel applications.

14 So in summary, over the next 10 years we think
15 that there will be an increased application of genetic
16 information into the prescription of some of the
17 medications, not all of them. Integration of PGx into
18 medicine will help to identify people that respond better
19 than others and to eliminate or decrease adverse
20 reactions. Definitely, that's one consideration for the
21 policymakers to increase the health care.

22 These are the areas that we can think of for
23 the committee to focus on. The first thing is we have to
24 change the perception of prescription. No medication is
25 totally safe, and that is a major problem in the general

1 public in that if you tell people that everybody in the
2 United States, that 100 people die in the United States
3 because of auto accidents, nobody will raise their hand and
4 say, well, we should ban all automobiles, that they're just
5 too dangerous. Yet we have drugs that have been taken out
6 of the market with as few as three or four individuals with
7 adverse reactions. So this is an education. We have to
8 educate people that nothing is totally safe.

9 PGx will increase and improve the benefit/risk
10 ratio, but it's not going to totally eliminate it. We
11 cannot promise that this is going to be individual medicine
12 for every patient. We can only say that this is going to
13 increase for a targeted population. The next person that
14 you test will have a very different genetic background, and
15 that person might have a side effect.

16 Fear of genetic testing is an important thing
17 in that PGx does not change the patient, does not change
18 the response or the disease. You're just trying to predict
19 or giving a better chance for the prediction. So we need
20 people to understand this and need protection insurance per
21 the discussion yesterday.

22 Finally, we need the support of the research
23 and health care environment in order to make this
24 happen. So on the last slide, I listed a number of
25 stakeholders in this in order to make this happen. In

1 summary, this is a big dance. Everybody has to be a part
2 of it and play their role in order to make it
3 happen. Pharma can develop the molecules, can do the
4 scientific discovery, but in order to make it into
5 practice, a lot of the other bodies have to become
6 involved.

7 Thank you.

8 (Applause.)

9 DR. WINN-DEEN: We'll take some questions after
10 both speakers have given their perspectives here.

11 The other speaker in this session is Dr. Walter
12 Koch, who is the head of research for Roche Molecular
13 Diagnostics. Walter has a long history in the area of
14 pharmacogenetics and was the project leader for the Roche
15 AmpliChip, so I'm hoping that he can give his perspective.

16 I also want to point out while he's getting his
17 slides up that the committee has received some additional
18 information. Eric was kind enough to bring some of the GSK
19 literature that they've put together to help with education
20 of the community on human genetics, and Walter has brought
21 a paper, a nice review on technology platforms for
22 pharmacogenomic diagnostic assays, which you now have for
23 reading on the plane on the way home. So we thank them for
24 providing those additional materials.

25 I'll let Walter begin.

1 DR. KOCH: I appreciate very much the
2 opportunity to bring my perspective as someone who is from
3 the diagnostics industry to this committee. You'll see
4 from my slides that I resisted the inclination to
5 gratuitously promote the AmpliChip, and there's not a
6 single picture in there, nor did I pay anyone to put them
7 in other slide sets. But now that it's been introduced, I
8 will use the test to provide you some examples of what some
9 of the challenges were and how this will affect us going
10 forward with various types of tests.

11 I wanted to broadly cover areas that really had
12 more policy implications in where we are today, where we're
13 going in the future, and what those challenges are. So the
14 first of those would be developing pharmacogenetic tests of
15 the sort that we've been discussing earlier this morning,
16 for drugs that are already on the market. The new world
17 is, of course, as we've also heard, the opportunity to
18 develop drugs and diagnostics together, and there are
19 various concepts around that that we can talk about. I
20 personally believe there's a need for some very large-scale
21 clinical studies of the sort that are challenging for an
22 industry to take on by itself, and I'll address that.

23 Health care provider education has already been
24 addressed, and then reimbursement I believe you covered
25 yesterday pretty extensively, but I'll bring it up once

1 more.

2 So thankfully, Dick made my job easy in
3 presenting all these really well known examples, the
4 warfarin, the azathioprine, the fact that we have many
5 genetic determinants that influence drug response
6 outcomes. I would like to say that genotype/phenotype
7 correlations, although very strongly correlated when you
8 have a complete lack of enzyme, are generally not
9 perfect. They are, as Dick said, one component of an
10 entire picture. So the idea that we'll be able to
11 prescribe a very specific dose based on a genotype is maybe
12 asking a bit too much.

13 I will say, however, if you look into package
14 inserts for a large number of drugs that are on the market
15 today, where there is a drug-drug interaction that leads to
16 phenotypically exactly the same consequence as lacking the
17 enzyme because of your genetics, there is already guidance
18 for physicians as to what to do, to adjust the dose to the
19 low end of a therapeutic range. So presumably, a physician
20 could use this same sort of information which they cannot
21 determine in any other way than with a genetic test, and
22 then adjust the doses accordingly. I think physicians are
23 very well used to adjusting doses and titrating them in
24 their patients.

25 Nevertheless, clearly having some guidance

1 would be helpful, and there are papers in the literature
2 now that are starting to provide that based on clinical
3 pharmacology and pharmacokinetics.

4 Now, the particular situation that we have with
5 something like a P450 test is that these drugs are on the
6 market and the companies, the sponsors for those drugs,
7 typically are not sponsoring studies to show what the
8 impact would be to have a pharmacogenetic test together
9 with that. In that sense, then, the burden of clinical
10 validity and utility falls on the diagnostics
11 developer. For P450, we were fortunate enough that the FDA
12 felt these were valid biomarkers, and clearly they're being
13 used throughout drug development today, and they have been
14 for 10 years. In fact, the reason new drugs are far less
15 impacted by these polymorphic drug metabolizing enzymes is
16 because those drugs are weeded out. If they have this
17 liability, they often don't make it through the pipeline,
18 or there are chemical means of modifying the structure so
19 that it becomes less important.

20 Clearly, the FDA has expressed a very strong
21 interest in some of these examples. I might just take this
22 opportunity to tell you a little bit about what goes into
23 developing a genetic test, and I'm using pharmacogenomics
24 to cover both genetic and gene expression-based, although I
25 will not talk about gene expression-based tests here at

1 all. We just don't have the time for that. But clearly,
2 this is another opportunity to use patterns of differential
3 gene expression to predict drug response.

4 For 2D6, without showing all the slides, it's
5 one of the most polymorphic loci that you could hope to
6 work with. During the seven years that we were working on
7 it, the number of alleles known and reported doubled. So
8 it went from something like 30 to now over 60. So it was a
9 bit of a moving target even as we were developing the
10 test. It was challenging because it had all those kinds of
11 variations that Dick showed before, duplications,
12 deletions, just a plethora of different genetic variations,
13 and how to get all of those with one test was not easy, but
14 it was made possible with some very new and novel
15 technology, microarray-based technology, that I think is
16 opening doors for all kinds of multiplex assays that we'd
17 never even contemplated before.

18 Other challenges. I can't resist to mention
19 that there are intellectual property challenges. There was
20 at least one allelic variant that I cannot report because
21 there was no amount of money that would allow me to get
22 access, a license for that particular allelic
23 variant. Analytical validation was challenging for allelic
24 variants which were not very common. So although we worked
25 with many investigators around the world to try to find

1 genomic DNA samples that we would use to validate
2 performance, in some cases we simply couldn't find a bona
3 fide sample.

4 So what we did, and the FDA liked this, was to
5 make those variants by site-directed mutagenesis and
6 actually pool them back into real genomic DNA to prove that
7 you could detect them. But those are the kinds of things
8 that you have to do.

9 Having said that, even now, as we've gone into
10 larger populations abroad, in China and Japan, we found new
11 variants with the test that we had not had the opportunity
12 to see before. So this starts to be a little bit like drug
13 development in that in your Phase III trials you've got
14 5,000 or however many subjects, but when you go into 20,000
15 you start to see things you hadn't seen before. If it's
16 really, really rare, perhaps it's not so important. But we
17 found some that were not as rare as one might have thought
18 and will lead to a second-generation test. As more and
19 more variants are discovered, there will no doubt be
20 updates.

21 One other thing, then, to address was points
22 that have been made about clinical utility. We are
23 actually sponsoring over a dozen clinical studies in
24 various therapeutic areas, the largest of which is 4,000
25 psychiatric patients over about a two-year period, to try

1 to bolster the clinical utility that many have seen in case
2 studies and smaller studies that only have 100 or 200
3 subjects. But it's a pretty large endeavor to take on for
4 a company like ours, and so the need for ultimately
5 prospective clinical trials, where this information is used
6 to make a differential drug or dose decision and show an
7 outcome difference, those are ones where one could imagine
8 that a public/private/academic partnership might be a good
9 way to do those rather large studies.

10 Now, going forward, we're increasingly
11 considering biomarkers during drug development and in some
12 cases finding that these markers can stratify patients and
13 predict who is likely to respond. For example, the
14 Herceptin case. So the FDA, we're very pleased to say, has
15 put a considerable amount of effort into providing guidance
16 both in terms of workshops and public meetings, as well as
17 guidance documents for the analytical properties of
18 multiplex tests, for how data of this sort would be
19 submitted by the pharmaceutical industry, and how drugs and
20 diagnostics might be developed together. The most recent
21 one is a draft coming out in April.

22 There are still a lot of details to be worked
23 out around those, and when Felix shows a slide later on
24 this afternoon, I think it's number 14, think back to what
25 I'm going to say now in terms of the challenges of timing,

1 those two endeavors, so that they are in synchrony with one
2 another.

3 There are certainly some basic process
4 questions about review processes going on within two
5 different organizations. But most importantly, the
6 guidance documents suggest that you would be able to make
7 an analytically validated test basically in the preclinical
8 phase. So when you go for the first time into man, you've
9 got a test ready to go. With the exception of something
10 well studied, like a P450 test, one frequently doesn't know
11 what the marker is that predicts response, either efficacy
12 or adverse reactions, until later stage Phase II studies.

13 Therefore, in order to demonstrate the clinical
14 utility in the pivotal Phase III trial, you are unlikely to
15 ever have a fully validated IVD test. I can tell you one
16 reason why right off the bat. A one-year stability study
17 takes one year, and I doubt very many pharmaceutical
18 companies want to wait a year for that to be done, let
19 alone all the other development work, which is a minimum of
20 18 months for a simple test. So the sort of questions we
21 ask ourselves are if you have a well validated, from an
22 analytical point of view, prototype test, and you use that
23 during the Phase III clinical trial to demonstrate the
24 clinical utility and you retain samples, can you then
25 cross-validate the IVD so that the two can actually merge

1 and launch at the same time?

2 Absent that sort of an approach, it will be
3 very difficult to have these two processes in parallel
4 without delaying one or the other rather substantially, not
5 to mention the risk on the diagnostic side that in Phase
6 III a lot of these drugs don't make it, and you will have
7 developed a test that never gets used. The notion that you
8 might have to do two independent Phase III trials I think
9 will make it very, very expensive to ever introduce
10 pharmacogenomics into routine practice and would certainly
11 hamper it.

12 I didn't mention so much, but I should, that
13 humans are genetically rich, and our DNA reflects our
14 ancestry, and it's a beautiful thing to see, but it's also
15 challenging from a diagnostics perspective because people
16 from different geographical origins have different
17 variation in their DNA, and you need to be broad and
18 encompassing in that genetic variation so that when a test
19 is used in a country as diverse as ours, everyone is helped
20 by this information. In fact, we put a great deal of that
21 into that AmpliChip to make sure that it covered all
22 peoples.

23 It's important, as well, we're starting to see,
24 even in gene expression differences in somatically acquired
25 mutations in cancer such as EGFR, where it looks like

1 Asians may have differential responses. So it's not only
2 in the genes that you inherit from your parents but
3 potentially even how your cancers develop.

4 The CDC has provided these statements about the
5 need for large clinical and epidemiological studies, and
6 given what I've told you, that as you go into larger and
7 larger populations you find variation that you wouldn't
8 have early on, such studies would be, I think, enormously
9 helpful and provide additional background information for
10 both the pharmaceutical and diagnostics industry.

11 The NIH, we've heard about the Pharmacogenetics
12 Research Network, and there is some translational clinical
13 research there. I would hope that we would do more of that
14 and that maybe a pivotal case such as the warfarin and
15 CYP2C9 might be used as an example to show what the real
16 validity and utility of these tests are. Warfarin is one
17 of the most litigated drugs in America, and there's still,
18 I understand, as many as 1 in 250 who die from the drug
19 itself. So clearly, this is a situation where having such
20 a test to help guide the therapy could be enormously
21 useful. It's a drug that had 20 million prescriptions in
22 2003. So it's not something that's going away despite how
23 old it is. It's still a much used drug.

24 We've talked about education needs, and maybe I
25 shouldn't beat that horse to death. I'm reminded that

1 package inserts have a lot of information for physicians in
2 it if they are able to take the time to read it. Some of
3 my physician friends have said, well, in fact, they don't
4 get to read all that information. So what vehicle we use
5 to make this information more user friendly and clinically
6 actionable for physicians is a challenge that we all need
7 to face.

8 The one thing I will say is that in areas where
9 it makes a big difference, the physicians get it. I was at
10 the ASCO meeting for clinical oncologists this year, and
11 the overwhelming message at that meeting was molecular
12 diagnostics are driving molecular targeted therapies. In
13 areas of disease where life-threatening disease exists and
14 therapy choices are crucial, this information is used and
15 taken up very quickly. HIV drug resistance is an example
16 for pharmacogenetics of a viral agent. But in oncology,
17 this sort of information is increasingly driving
18 therapeutic decisions and increasing the efficacy of
19 treatment for patients with a dire disease.

20 So I think when there is a need and when there
21 is a utility, the education comes more
22 rapidly. Nevertheless, we still have challenges ahead of
23 us.

24 So finally, I think I would just like to
25 mention that we also believe that the current reimbursement

1 system really isn't ideal for reimbursing these kinds of
2 tests. When you're trying to find perhaps 10 percent
3 outliers who have a genetic variation and therefore need to
4 be treated differentially, whereas 9 in 10 are fine with
5 the standard dose, the models for reimbursement really
6 aren't there for that kind of preventive action, if you
7 will. Initially, my guess is it will be used more when
8 something untoward happens to understand why it did, but we
9 are not yet at a point where we can readily incorporate
10 this prospectively, although it would make great sense
11 because the genetic test done once, in the case of
12 something like CYP2D6 and 2C19, influences 15 percent of
13 the drugs on the market. If it were in your medical
14 record, you could benefit for life with other agents.

15 So then finally, I would also like to make a
16 plea, as Dick did, for the partnership opportunities that
17 exist in this area between academia, government, and the
18 private sector, to try to bring pharmacogenomics to the
19 clinic and provide patients with better health care sooner.

20 Thank you.

21 (Applause.)

22 DR. WINN-DEEN: In keeping with trying to keep
23 us on time, what we're going to do is take about the next
24 15 minutes for questions and answers for the two speakers
25 who we just heard from from industry, and then we'll move

1 directly to the public comments and on to our lunch break.

2 So I'd like to ask if there's anyone from the
3 committee or the ex officios who would like to kick it off.

4 Kevin?

5 DR. FITZGERALD: Just to get a better sense of
6 where both companies are coming from, and I'm not asking
7 you to speak for all of industry or anything like that, but
8 one of the comments I think both of you referred to was
9 when you're looking at developing various either diagnostic
10 tools or drugs or whatever, there's this argument that
11 keeps coming up about the size of the subgroup, and
12 eventually, of course, with genetics, you could pretty much
13 break it down to we're all individuals except for identical
14 twins, and even then you might find enough differences.

15 So what cutoffs do you use in your industry for
16 saying, okay, we've got X amount of market out there
17 potentially to develop this product? I only ask because,
18 again, in these sorts of partnerships that you're looking
19 to develop, the question will be to know what are your
20 cutoffs, what are your bottom lines, and then how does
21 academia, how does government, how do the rest of them come
22 in to help with those kinds of partnerships?

23 An example that comes to mind, currently we
24 heard about the testimony going on today about the BiDil
25 drug and the use of that for a particular group. Well,

1 let's say somebody discovers that the Native American
2 populations, after they crossed the bridge from Asia,
3 developed some sort of cytochrome P450 variant and no one
4 is going to be running around developing drugs or products
5 for Native American populations because it's not just that
6 big, I would presume. So it would fall into a kind of
7 orphan drug category. So that's why I'm interested in
8 getting from you where you would see your cutoffs or
9 limitations.

10 DR. LAI: Well, I'm a scientist, so I'm not a
11 financial person. So I'll answer the question
12 scientifically. I'm not aware of any hard cutoff
13 percentage number. But on the other hand, you can look at
14 history and look at the record. Herceptin is about 25, 30
15 percent. Urisa is about 10 percent, something like
16 that. So there are examples out there that give you some
17 of the percentage.

18 DR. FITZGERALD: But you said yourself, I
19 believe, Herceptin was about a \$1 billion market?

20 DR. LAI: Yes.

21 DR. FITZGERALD: Right. And is Urisa similar?

22 DR. LAI: I don't know the number of that.

23 DR. FITZGERALD: Okay. I was just wondering if
24 you knew those kinds of details. I think that's something
25 that would be helpful in the discussion as we go forward to

1 talk about these kinds of partnerships and where various
2 emphases may lie and who has to push in what direction for
3 that kind of thing.

4 DR. KOCH: Perhaps many of the early examples
5 are based on the science, not necessarily the market
6 size. Gleevac, used to treat particular leukemias that
7 have one specific translocation, not a huge
8 number. Nevertheless, the drug is doing well and there are
9 diagnostics available for that. Just this last spring we
10 found out when drug resistance arises, there are now
11 follow-up therapies for that. So when there's a real
12 medical need and a benefit for both therapy as well as
13 diagnostics, I think it's going to be used because the
14 science is driving it.

15 DR. WINN-DEEN: Debra, and then Tim.

16 DR. LEONARD: So I was interested to hear your
17 comments that the diagnostic-therapeutic combo guideline
18 that has come out of the FDA is not really very
19 feasible. I haven't heard the corporate perspective on
20 that. I've only heard the FDA's perspective, and I assume
21 that that's feedback that the FDA has gotten. Do you have
22 any hopes of ever seeing a diagnostic-therapeutic
23 combination coming to the FDA? That's more directed at
24 Joe.

25 DR. HACKETT: Do you want me to go

1 first? We're assuming that they will come in. We don't
2 know what their frequency will be. You have to remember,
3 for that combination, it's a situation where there is such
4 a risk with the drug itself that there must be a diagnostic
5 test, as with Herceptin. But it's too early to tell at
6 this point in time how frequently that's going to happen.

7 DR. LEONARD: But the Herceptin -- that
8 combination didn't come in together, I don't think, the
9 Herceptin --

10 DR. WINN-DEEN: They came in together. They
11 had panels on the same day.

12 DR. LEONARD: Oh, really?

13 DR. WINN-DEEN: Yes.

14 DR. HACKETT: They were both developed at the
15 same time.

16 DR. KOCH: Well, I've heard the history wasn't
17 quite so smooth. But in any case, going forward, you would
18 like to do it in a concerted way together. I wouldn't say
19 that it's infeasible. I would just say that if you don't
20 know what the markers are that are informative for your
21 drug response until Phase II, and often that's what I see
22 in the real world of pharmaceutical companies that I deal
23 with, including our own, then there's no way to have an IVD
24 final product ready for the pivotal Phase III. So that's
25 one conundrum about how you align those two processes so

1 that they come together at the end.

2 DR. LEONARD: So are ASRs and lab-developed
3 tests discounted in the ability to bring drugs to market
4 without the diagnostics that's needed?

5 DR. HACKETT: ASRs are a possibility, but our
6 position is that microarrays are not ASRs.

7 DR. LEONARD: I wasn't referring to
8 microarrays. I was referring to lab-developed tests and
9 ASRs that -- so many of the pharmacogenetic kinds of tests,
10 you publish the variant and we can do it in the
11 laboratory. So it doesn't require an FDA-approved, cleared
12 test in order to be able to do that kind of testing. Does
13 the FDA take that into account?

14 DR. HACKETT: Yes, we're looking at that as we
15 go along. But the main object is communication, the
16 earlier the better, so we can get together with industry
17 and start working out these problems and try to develop
18 them, including how are we going to deal with ASRs.

19 DR. WINN-DEEN: Tim?

20 MR. LESHAN: To shift subjects a bit, I want to
21 go back to your discussion about the reimbursement
22 issues. If you could just give us a little bit more
23 background about the reimbursement around the AmpliChip and
24 where that stands?

25 DR. KOCH: I'm no reimbursement expert, but I

1 laid out for our reimbursement folks what the steps in the
2 test were, and typically the CPT codes are used for DNA
3 extraction and amplification and so on. So the thing that
4 I think is misaligned is using technical steps to put value
5 on a test. My view is it's what the clinically relevant
6 information is that you're providing that should drive the
7 reimbursement for the test. So if I perform the same
8 procedures and can predict nausea and vomiting from a drug
9 versus whether you're likely to respond to a
10 chemotherapeutic agent and cancer, I think those two tests'
11 predictive information have very different value associated
12 with them even though they might use exactly the same
13 steps. That's sort of where I'm coming from.

14 DR. WINN-DEEN: Okay, we've got Barbara, and
15 then Muin.

16 MS. HARRISON: Just to follow up on Kevin's
17 comment from before, I was just wondering, when these
18 pharmacogenetic and genomic studies are undertaken, and we
19 can use the example of TPMT in the literature, you
20 mentioned that the allele of concern with TPMT is present
21 in 1 in 20 people of Northern European descent, and that's
22 when you mentioned that it's not necessarily present in
23 Asian populations that you studied. I was wondering, is
24 there an expectation, not necessarily a cutoff but some
25 kind of expectation that there be a diverse population

1 studied before there's a guideline that's put out about
2 what should be watched out for or not?

3 DR. WEINSHILBOUM: Maybe I can just tell you
4 that, for example, in the Pharmacogenetics Research
5 Network, I mentioned that in all the resequencing studies,
6 samples from African Americans, Caucasian Americans, Hmong
7 Chinese Americans and Mexican Americans are a standard part
8 of what we do. No surprise to a sophisticated audience
9 like this, we find rather striking differences in allele
10 frequencies and types in the different populations.

11 Now remember, these are large studies. But
12 nevertheless, it's a relatively small number of subjects,
13 and I think the point that Walter just made about going to
14 China and seeing in an Asian population some different
15 variants that are of functional importance is a lesson that
16 we all understand, and clearly that was the implied
17 message. In fact, it's what I heard Francis Collins say on
18 Public Radio this morning with regard to the 42 percent
19 decrease in mortality -- I mean, it's quite striking -- in
20 the BiDil population, the African American population
21 treated with that drug, whereas no benefit could be
22 demonstrated in the Caucasian Americans. What Francis was
23 basically saying was what we really need to do, and I think
24 it's going on right now, is to understand the underlying
25 molecular mechanisms that are responsible.

1 But the answer is, yes, there's a great
2 sensitivity to examining as diverse populations as
3 possible.

4 DR. WINN-DEEN: Muin?

5 DR. KHOURY: I wonder if we can put up slide
6 number 5 from Eric Lai's presentation, because I'd like to
7 kind of talk around that. Obviously, the promise of
8 pharmacogenetics and pharmacogenomics, sort of there is
9 that balance that we all talk about. On that slide you had
10 on the two axes the percent of patients with major adverse
11 effects versus the percent of respondents.

12 The next one. Just finish it up, because it
13 has sort of that balance where you have on the one hand
14 everyone's dream drug where almost everyone responds and
15 there are no side effects in the population, and on the
16 other hand you have 90 to 95 percent of the drugs that have
17 failed because of large side effects and low response.

18 Now, if you put a third axis, which is sort of
19 the potential, I think that's coming back to your point
20 earlier, the target audience. So if you're developing a
21 drug to treat children with acute lymphoblastic leukemia,
22 you have the drug and then you have TPMT, that's a very
23 limited segment. I don't know what the incidence of ALL
24 is, but it's not the same as the incidence of heart attacks
25 in middle-aged men. So you have that third axis of the

1 potential populations to be targeted, and I wonder if we
2 can have a little bit more discussion about those gray
3 zones.

4 For example, go back to TPMT. Again, I don't
5 want to beat a dead horse, but the percent response is very
6 high, and you have the percent of patients with major
7 adverse effects is less than 1 percent, the homozygous, 1
8 in 300. So where is that? That's not your dream drug,
9 obviously. It's almost saying that pharmacogenomics is not
10 necessary, if I read this chart correctly. Can you
11 elaborate on that?

12 The second question is the pipeline of new
13 failed drugs, the 90 to 95 percent, is there no room for
14 pharmacogenomics there? Because there is a lot of stuff
15 that's being discarded without being studied. Is there a
16 way to save some of these drugs?

17 DR. LAI: So with respect to your first
18 question on TPMT, I think that you have to understand this
19 graph is basically used for illustration. So how big those
20 circles are, sometimes they can overlap. So you could
21 potentially, for the adverse reaction PGx, go a little bit
22 to the left, 0.5, 0.25 percent. It really depends on a
23 particular drug and how bad the adverse reaction is. It
24 could be just, like I said, a stomach discomfort for half a
25 day.

1 DR. KHOURY: I guess my question is what is the
2 decision analytic framework here, if there is one? I mean,
3 is this just in the hands of the practice of medicine to
4 figure out those pros and cons, or there is something more
5 overarching in terms of devising evidence-based decision
6 analysis model here?

7 DR. LAI: Well, that's what I'd like to bring
8 up. I think that's for the committee and the FDA to
9 discuss. I mean, basically my understanding on the TPMT is
10 they're saying that percentage is not big enough. That's
11 my understanding, that it does not quite get to the circle
12 to the right. That might be the wrong interpretation, but
13 there are overlaps and there are a lot more factors than
14 just signs.

15 Now, economic definitely needs to play a major
16 role in this, not just the economics of the disease and how
17 much of a market there is, but also I think that we need to
18 keep coming back to this benefit in that it's not just the
19 side reaction or the adverse reaction that you see on day
20 1, which you mentioned. It's actually a long
21 process. When somebody has to be in the hospital for three
22 months because of one dose, that's very costly. So you
23 actually have to develop pharmacoeconomic models for
24 adverse reactions. I think that in Europe they are ahead
25 of us because the government is the one actually paying for

1 the drugs. So that's why they developed these models and
2 they figured out that, well, for certain drugs it is indeed
3 worthwhile to prevent the reaction, even though they are
4 much less frequent, because in the long run that makes
5 sense.

6 It's just like preventive medicine in dental
7 care. Now insurance companies pay for preventive care in
8 dental because they've figured out that it's cheaper than
9 until you develop a major problem. So that's the answer to
10 the first question.

11 The second question is, on the failed drugs, I
12 did cover that a little bit on the benefit of PGx. A lot
13 of those fail because either they are the wrong target,
14 because they have high toxicity, they get into the wrong
15 P450 and so forth. By doing pharmacogenetic studies, you
16 actually can figure out some of them why they
17 failed. That's why in one of my subsequent slides I said
18 provide more evidence-based drug development process.

19 DR. WINN-DEEN: We're going to take one more
20 question from Deb, and then we have to move on to the
21 public comments.

22 DR. LEONARD: I realize I have a gap in my
23 knowledge. Dr. Weinshilboum, can you explain to me what
24 the Pharmacogenetic or genomic Research Network does? Do
25 you do pharmacogenetic testing for clinical trials? Is it

1 like a core facility kind of function?

2 DR. WEINSHILBOUM: I'm sorry that I kind of
3 threw that up, here's a map, and didn't explain. This is a
4 network supported by multiple NIH institutes. The National
5 Institute of General Medical Science takes the lead. It
6 has approximately a dozen research centers and one
7 knowledge base/database at Stanford. The research centers
8 do both basic pharmco -- that's why I had the balance
9 between basic and translational -- both basic and
10 translational studies, generally translational studies
11 which are related to the nature of their laboratory-based
12 activities and includes, in the same way that Dr. Davis was
13 pointing out, molecular epidemiologists, statistical
14 geneticists, laboratory-based investigators.

15 So in our center we're resequencing genes, as I
16 pointed out, doing functional genomics, but immediately
17 translating that into studies of breast cancer and
18 psychiatric illness that is drug therapy. In other centers
19 the focus is on cancer, on cardiovascular disease, on
20 asthma, ranging from laboratory-based studies, discovery of
21 new polymorphisms and haplotypes, functional
22 characterizations, and testing in translational studies
23 whether this information will help us to better either
24 enhance efficacy or decrease toxicity.

25 You'll have an opportunity this afternoon, when

1 Dr. Rochelle Long is here -- she is responsible at the
2 administrative level for coordinating the Network -- to
3 perhaps ask additional questions. I don't know whether
4 I've answered your question or clarified anything, but it's
5 a series of research centers across the United States, and
6 academic medical centers, supported by U01 cooperative
7 agreement grants from the National Institutes of
8 Health. It's been going for five years. We've just been
9 through a competitive renewal phase, and next week here in
10 Bethesda the centers involved in the next five-year period
11 will be meeting.

12 DR. LEONARD: I was just wondering if it was a
13 thing like NCI has set up, sort of core facilities to
14 provide certain kinds of analysis very broadly across many
15 research programs. I was wondering if that's the kind of
16 function that this had that could interface with clinical
17 trials in doing sort of blanket pharmacogenetic testing as
18 clinical trials are ongoing.

19 DR. WEINSHILBOUM: It's very interesting that
20 you should mention that because as part of the Roadmap
21 there is this regional translational research center
22 proposal which has now gone by the board, and you are
23 looking at someone who on behalf of our network was given
24 the opportunity to write for the network, to do with
25 clinical trials. Why do you think I mentioned

1 clinicaltrials.gov? Exactly what you're proposing. As you
2 know, the NIH stepped back from the regional -- we proposed
3 that a region be the United States of America. We were
4 told that in some cities in the northeast that Longwood
5 Avenue would be a region, but I won't go into that.

6 But as a matter of fact, the concept that
7 you're proposing is exactly the type of concept which
8 within the Network is one of the things we're thinking
9 about in terms of raising the profile of the discipline
10 throughout all of biomedical science.

11 DR. LEONARD: What would it take to do that?

12 DR. WEINSHILBOUM: It would be nice if the
13 kinds of proposals that we put in, if there were at least
14 some consideration and competitive arena for an opportunity
15 to do that.

16 DR. WINN-DEEN: I'm going to have to cut off
17 the discussion here because I think we do have an
18 obligation to reserve the time that has been allotted for
19 the public commentary.

20 I'd like to thank the morning panel very much
21 for the information, for the education, and more
22 importantly for your many comments on the things that we
23 could address. I hope that we can come back to you all as
24 we struggle to sort these comments out into some kind of
25 bins that we can manage and try to prioritize our work as a

1 committee for additional advice and comment.

2 DR. WILLARD: Thank you, Emily, for taking care
3 of the morning for us.

4 We now have our public comment session. As
5 Reed Tuckson noted yesterday, one of our critical functions
6 at each meeting is to serve as a public forum for
7 deliberations on the whole range of health and societal
8 issues that are raised by the development and use of
9 genetic and genomic technologies. We set aside time each
10 meeting and each day to hear from the public, and that's
11 what we'll do now.

12 We have two speakers, and in the interest of
13 our full schedule and the fact that we're tight on that
14 schedule, I'd ask the commentators to keep their comments
15 to five minutes, and if you have written comments, to
16 please give us a copy of those so they can be entered into
17 the permanent record.

18 Our first speaker is JoAnne Glisson from the
19 American Clinical Laboratory Association.

20 If you would just come to the front, there's an
21 open seat there. Welcome. Thank you for joining us.

22 MS. GLISSON: Thank you for having me.

23 ACLA is an association of independent clinical
24 laboratories, national, regional and local
25 laboratories. Our members include large reference labs and

1 small focused, esoteric labs. Independent laboratories and
2 the laboratory-developed tests they develop and perform
3 represent a key constituency in the development of this
4 exciting new technology. We look forward to working with
5 the committee as you continue your consideration of the
6 issues associated with pharmacogenomics and its promise.

7 Thank you.

8 DR. WILLARD: Thank you. I appreciate your
9 brevity.

10 Any questions or comments from the members of
11 the committee?

12 DR. WINN-DEEN: I just want to make a comment
13 on behalf of the group that tried to put the program
14 together today. We didn't in any way mean to slight the
15 reference laboratories that are doing lab-developed tests,
16 and we recognize the valuable role that you're playing in
17 this field. There just simply wasn't enough time on
18 today's program to hear from all constituencies. We
19 certainly would like to reserve the right to call on you
20 for a future meeting.

21 MS. GLISSON: Thank you.

22 DR. WILLARD: Other comments from the
23 committee?

24 (No response.)

25 DR. WILLARD: If not, thank you very much.

1 Our second speaker is Robert Yocher, who is
2 vice president of regulatory affairs at Genzyme.

3 Welcome and thank you for joining us.

4 MR. YOCHER: Thank you. Thank you for the
5 opportunity to comment on the exciting topic of
6 pharmacogenomics.

7 We at Genzyme believe we are uniquely
8 positioned to discuss this as a biotechnology company and
9 who develops unique therapeutic products for unmet medical
10 needs; and also as a laboratory service provider of genetic
11 tests and clinical pathology.

12 The age of pharmacogenomics has started, but
13 it's at its earliest stages, and like all science in its
14 early formative years, the process is truly
15 iterative. While there has been a handful of notable
16 successes, for the drug companies in the pipeline now, it's
17 really only the earliest few drops out of the
18 pipeline. Most of the fruits of our efforts will not be
19 realized for seven to ten years from now.

20 However, the agreement on the systems and the
21 understanding of what the requirements are for the
22 realization of targeted therapeutics which are now defined
23 by pharmacogenomic testing, need to be in place
24 now. Therefore, Genzyme believes the following are
25 necessary strategies to understand the realization of the

1 full potential of pharmacogenomics.

2 First, we believe there needs to be a broad
3 coordinated effort necessary integrating pharmacogenomics
4 as this is a paradigm shift. All of key constituencies
5 within the health care system need to understand the role
6 of pharmacogenomics. There should be education of
7 physicians and other providers to get them on board and
8 thinking about it. There needs to be education of
9 payers. Education is necessary on a number of levels for
10 the foundation of pharmacogenomics as a concept, as a
11 benefit to patients, and benefits to payers.

12 More importantly to this committee, there needs
13 to be education and coordination of agencies throughout the
14 HHS, FDA for the drug and test development, CDC and CMS for
15 laboratory services, CMS for adequate payment, CDC for
16 education, and NIH for the design of experiments and the
17 new statistical approaches that will be necessary to lead
18 these development technologies.

19 It's critical that the efforts between the
20 agencies are coordinated, especially as new rules and
21 recommendations are created. We cannot have new rules in
22 one agency which are not consistent with the other
23 agencies. For example, for biomarkers deemed valid by FDA,
24 it should also be accepted by CMS as valid. There should
25 not be two levels of evidence required.

1 Some other examples. There needs to be a shift
2 in thinking about population means evidence-based medicine
3 to targeted populations and cohort outcomes. The whole
4 classic drug approach has been on centrist, large
5 populations, and now we're looking at truly just the
6 outliers. So there needs to be new statistical
7 methodologies developed.

8 For instance, a prospective analysis of
9 retrospectively collected samples in biobanks, and
10 validation of these biomarkers. At the recent DIA/FDA
11 meeting, NIH and FDA had a quite interesting discussion and
12 came to no agreement on the process of how to do
13 that. Terminology must also be agreed upon in
14 organizations. Dr. Janet Woodcock stated in her
15 presentation to the DIA and FDA workshop on April 11th of
16 this year that further exploration of the concept of the
17 framework is needed, and reassessment of the ideas of
18 validation, and perhaps even adopting new nomenclature for
19 validation.

20 We also believe that the government needs to
21 pay to encourage innovation. Innovation is critical to
22 moving the health care system forward. With the fast pace
23 of medicine today, laboratory-developed tests are
24 considered the state of the art diagnostic tests and are
25 often the way that innovation occurs in the laboratory. In

1 many cases, manufacturers will not seek FDA approval
2 through 510(k)s or PMAs for these products or devices
3 because the routes are either not economically viable
4 because the populations are too small, or especially since
5 the technology is changing so rapidly and the pipeline is
6 so long that by the time you get your test approved, the
7 technology has passed you by, as was mentioned this
8 morning.

9 For drug manufacturers, it's important to
10 provide incentives such as label extensions or exclusivity
11 for drugs associated with new pharmacogenomic tests to
12 justify the additional development of cost and
13 timelines. But in doing so, the regulatory pathways must
14 be clear, predictable, and easy to implement. For
15 pharmacogenomics to work, we believe that drug
16 manufacturers must understand and recognize the benefit of
17 creation of drugs that will be more targeted to the right
18 patient for the populations, and therefore show better
19 efficacy and safety.

20 We need to bolster the support of the current
21 multiple approaches to diagnostic access, especially
22 inclusion of laboratory development tests which right at
23 this moment are not discussed in the early FDA models.

24 We have submitted more details in writing to
25 this committee, but we've covered many of those topics this

1 morning, and we stand here ready to help assist you and
2 volunteer in your efforts going forward.

3 DR. WILLARD: Thank you very much.

4 Questions from the committee, or comments?

5 DR. WINN-DEEN: Are you going to make your
6 written comments available to us?

7 MR. YOCHER: They have been provided already.

8 DR. WINN-DEEN: Okay.

9 DR. WILLARD: Thank you very much. Appreciate
10 that.

11 We are now at our lunch break. An announcement
12 first for those who will be headed to the airport at the
13 end of the afternoon. You should sign up for airport
14 transportation at the registration desk to facilitate
15 getting out in a timely manner.

16 For the lunch break, committee members and ex
17 officios, the lunches that we ordered will be just outside,
18 as they were yesterday. For members of the public, lunch
19 is available in the hotel restaurant, as well as other
20 restaurants in the area.

21 We will reconvene promptly at 1:30 p.m. and
22 continue the session on pharmacogenetics. Thank you very
23 much.

24 (Whereupon, at 12:27 p.m., the meeting was
25 recessed for lunch, to reconvene at 1:30 p.m.)

1 institutes. So I'll be giving you a survey of all the work
2 supported by all the institutes, and then moving on to tell
3 you a bit about the Pharmacogenetics Research Network, with
4 which I'm personally involved.

5 What I did was start at the CRISP, which is the
6 Computer Retrieval of Information on Scientific Projects,
7 looked up and found over 400 different awards supported
8 that have as their key phrases pharmacogenetics or
9 pharmacogenomics. For today's talk, I will be just talking
10 about extramural grants to the community outside of NIH. I
11 will not be concentrating on the intramural program at all.

12 The green ones are basically training
13 mechanisms, 40 career awards, 24 institutional training
14 grants, and five fellowships. So this shows that people
15 are thinking about pharmacogenetics/genomics when they
16 comprise their training programs. The sort of
17 peachy/orange area shows that there are 70 different
18 cooperative agreements that list as key phrases
19 pharmacogenetics/pharmacogenomics, and that's a relatively
20 large proportion of 400. This includes some of the large
21 multi-million dollar awards through the Pharmacogenetics
22 Network, but also clinical trials, any time they're
23 collecting materials from people and actually planning to
24 do pharmacogenetic/genomic studies.

25 There also are 40 large centers and program

1 projects that tend to be concentrated at a single
2 institution to delve into a scientific program, as well as
3 two facilities and centers. There are nearly 200
4 individual research grants. Normally this is the bread and
5 butter of the awards made from NIH, especially from my
6 institute, the National Institute of General Medical
7 Sciences. So I think the relatively large proportion of
8 these large cooperative groups shows how it takes
9 multidisciplinary teams and large facilities to approach
10 problems in pharmacogenetics/genomics.

11 There also are a few small business awards, and
12 again a relatively large number of conference grants where
13 people want to discuss the topic.

14 As I mentioned, there are many institutes at
15 NIH, and many of the categorical disease-oriented
16 institutes are conducting large-scale clinical trials in
17 their disease areas, identifying the genetic contributions
18 to complex diseases. Many are banking DNA samples for
19 subsequent analysis. This is one thing, by the way, that
20 is not done as a network through the Pharmacogenetics
21 Network. They're not banking them as a group in general,
22 but I'll get back to that.

23 Almost all large efforts are promoting sharing
24 tools for researchers to enable all researchers to do
25 better quality research, and also promoting data-sharing

1 activities. This is definitely an activity that came to
2 the fore in recent years at NIH, the idea being if federal
3 government funds are being used to support the work, the
4 results should be shared subject to privacy or HIPAA-type
5 concerns because they're many times derived from patients
6 or individuals, yet data sharing is a concept that NIH
7 wants to promote.

8 When I surveyed the different institutes, the
9 National Institute of Mental Health specifically mentioned
10 their STAR*D trial, Sequence Treatment Alternatives to
11 Relieve Depression. Those samples are undergoing analysis
12 for genetic predictors of who might respond to different
13 drugs used to treat depression. They also strongly promote
14 tissue repositories, and they do in fact have oversight for
15 many different mental health disorders, collecting
16 materials for subsequent human genetic studies.

17 The National Institute of Child Health and
18 Human Development supports the Pediatric Pharmacology
19 Research Units. They are clinical in nature, and they do
20 include limited pharmacogenetic studies in some components
21 at some sites.

22 The National Heart, Lung and Blood Institute is
23 one of our major co-participants in the Pharmacogenetics
24 Research Network. They've funded a significant number of
25 multi-million dollar awards themselves over the last couple

1 of years. They also have had a large program called
2 Programs in Genomic Applications, or PGAs, that support
3 tools for researchers to use, be they clones, be they mice,
4 be they statistical methods. But again, the emphasis is on
5 tools and getting that out there for researchers across the
6 nation, or even internationally to do studies.

7 The Heart, Lung and Blood Institute also
8 supports sequencing services available for
9 researchers. These are often sequencing, resequencing and
10 genotyping services at this time, and they also support
11 individual research grants. This is important to recognize
12 because not all good research takes place at good
13 universities on the east or west coast of the United
14 States. Again, I come from NIGMS, and research grants to
15 individuals do matter a lot.

16 The National Cancer Institute, as you might
17 suspect, has multiple large adult and child clinical trial
18 networks ongoing. They are beginning to think more
19 proactively about planning to do pharmacogenetic analysis
20 of samples, and I expect their greater involvement in the
21 Pharmacogenetics Network with the next renewal. They also
22 have a cooperative human tissue network. They also bank
23 samples, and they also support individual research grants.

24 The National Institute of Diabetes, Digestive
25 and Kidney Disorders also, again, has several clinical

1 trial groups particularly studying diabetes as a disease,
2 and they have the drug-induced liver injury network of
3 researchers setting protocols to collect materials from
4 people who have experienced severe drug-induced liver
5 injuries.

6 The National Institute of Aging supports
7 clinical trials for Apo-E alleles and Alzheimer's
8 correlations, sort of a classic predictor for complex
9 disease, at least one component of it. The Human Genome
10 Research Institute you probably recognize, supports the
11 HapMap Project, using SNP blocks as a tool to look at the
12 genetic contributions that contribute to variation in
13 responses to drugs, and also vaccines and compounds in the
14 environment. The big effort in the HapMap is collecting
15 and identifying the SNP blocks correctly so that
16 investigators can go on to do these sorts of studies.

17 The Human Genome Institute is also the center
18 at NIH for the Roadmap Initiative on molecular libraries
19 and developing sets of compounds that probe molecular
20 space.

21 NIDA, the National Institute of Drug Abuse,
22 also has several tissue and cell repositories. They make
23 services available to researchers. For example, they're
24 part of the Microarray Consortium available through what's
25 called the Neuroscience Blueprint or group of NIH

1 institutes that come together to raise the research level
2 for all.

3 The National Institute of General Medical
4 Sciences, where I am based, historically has funded
5 individual awards, most often studying drug-metabolizing
6 enzymes because these enzyme systems are common to
7 metabolizing many different classes of drugs. Therefore,
8 it would be common for drug use to treat heart disease or
9 cancer or depression, so it makes sense that the General
10 Medical Sciences would want to support this research.

11 Starting around 2000, we started the
12 Pharmacogenetics Research Network. Now, this is the way
13 that the Pharmacogenetics Research Network looked from
14 approximately 2001 to 2004. At this time there were six
15 institutes participating. This initiative is undergoing
16 renewal, and as of this summer it will come out for the
17 next five years, starting in 2005. I'm pleased to say that
18 we now will have nine institutes and offices contributing,
19 so it's really becoming a trans-NIH initiative.

20 As I mentioned, historically NIGMS has
21 supported research in the drug metabolism transporter
22 area. You heard Dick Weinshilboum speak earlier. He has
23 one of the pharmacogenetics awards to look at Phase II drug
24 metabolizing enzymes. Another longstanding grantee of ours
25 is Kathy Giacomini, who looks at the membrane transporters.

1 I'll point out that each of these groups was
2 charged with putting together an interdisciplinary
3 team. So here you see somebody from pharmaceutical
4 sciences paired with somebody from a genetics background,
5 and the very best groups that competed through this
6 initiative brought people with pharmacological and people
7 with genetics/genomics backgrounds together, along with
8 people who knew statistics, along with people who could
9 look at samples from clinical studies. You need large
10 teams to do this kind of research.

11 Besides working in the metabolism and transport
12 area, we have had groups looking in the cancer area both at
13 breast cancer and at colorectal cancer, and at leukemia in
14 children. Howard McLeod also works in the colorectal
15 cancer area. We had a number of groups, as I mentioned --
16 NHLBI was a good supporter of ours right from the
17 start. These researchers are looking at both
18 cardiovascular and pulmonary diseases, looking at compounds
19 or drugs that lower cholesterol levels in the blood,
20 looking at anti-arrhythmic agents, looking at anti-
21 hypertensive agents, as well as looking at drugs used to
22 treat asthma.

23 It's interesting that many of the investigators
24 coming from this side of things, again the historical NIGMS
25 side of things, proposed what I would tend to call

1 genotype-to-phenotype studies. They had proteins, they had
2 families of genes, they had families of proteins of
3 interest, they were looking at variation, and they were
4 trying to find out what that meant functionally.

5 Interestingly, when we had the first
6 competition for the Network, a lot of people also came who
7 had very interesting patient samples. So they saw people
8 in their research clinical situations that responded
9 differently to drugs, and they wanted to look at the
10 genetic contributions to that effect. So I call these more
11 of the genotype-to-phenotype type of studies, where they're
12 trying to find the underlying genotype or types or
13 haplotypes that go with their clinical observations.

14 The Network is united by PharmGKB, which is a
15 knowledge base. I'll tell you a little bit about that in a
16 moment. PharmG stands for pharmacogenetics or
17 genomics. KB, knowledge base, meaning they are trying to
18 interpret what the functional implications, what the
19 clinical implications, what the medical decisionmaking
20 points ultimately might be for predicting responses to
21 drugs. But I must emphasize that PharmGKB was and still is
22 conceived as a research tool. It is not yet a place that a
23 common practicing physician can just log right in and
24 figure out which drug to give to that patient. We're not
25 there yet. If I leave you with no other thought than this,

1 keep in mind that there's a lot of research that needs to
2 be done to accurately predict what the genetic
3 contributions to predicting drug responses are.

4 We also supported a local informatics award
5 that helped these groups get started to put their research
6 results into PharmGKB, and we supported an award that
7 specifically looked at the implications of
8 pharmacogenetic/genomic studies for minority populations.

9 This is PharmGKB. This is a pretty recent
10 slide. It shows you that any researcher can come to it,
11 can browse through genes, can look at primary data, can
12 look at pathway pictures -- you saw one of these earlier
13 with Dick Weinshilboum's talk -- can enter simple queries,
14 and they can start to pull up data. As soon as data become
15 human data, you do actually have to have a password to
16 access the site. For example, you need to have a valid
17 research purpose. It's not hard to get a password. You
18 just have to describe your research program.

19 I also want to emphasize that none of the
20 information here is individually identifying. If it gets
21 down to a granular level, that it's a person with red hair
22 in Chicago with a certain sort of rare cancer who came into
23 a certain study at a certain time, no. So a lot of thought
24 has gone into this to ensure that it is ethically and
25 legally compliant in all the most modern and appropriate

1 ways.

2 The Pharmacogenetics Research Network at the
3 present moment, their primary emphasis is on conducting
4 cutting-edge research. You will see their papers from
5 their individual lab groups published in both basic and
6 clinical areas and journals. They are really working on
7 establishing the knowledge base PharmGKB and actively
8 depositing their data sets for genotypes and phenotypes and
9 correlations between the two. They're working to develop
10 pathway displays that can very easily pictorially display
11 pathways of drug clearance and mechanisms. There are
12 almost no drugs that I can think of that you take that just
13 encounter one single gene as they go through the body, one
14 single protein. It's that spaghetti diagram concept again,
15 trying to represent research knowledge.

16 I do want to emphasize that this is open for
17 scientific community submissions of data. So it's not a
18 network-only tool. It's available to all researchers.

19 I think this group is still learning as a
20 network. Early on they worked to devise policies. For
21 example, what should you put in an informed consent for
22 somebody whose research data ultimately will show up on a
23 website, and is that different than just a scientific
24 publication? They worked to develop intellectual property
25 policies that were not encumbering. In other words, they

1 were asked to deposit their data relatively early on, but
2 the strategy developed was actually to encourage
3 provisional patent applications, because people want what
4 is important and meaningful to be able to be
5 commercialized, and yet that doesn't mean the research
6 results can't be shared with others.

7 They are developing principles, looking at ways
8 and comparing ways to do clinical study designs, looking at
9 statistical analysis and ways to do more and more efficient
10 experiments, and this is a very interesting and active area
11 of the Network.

12 I'd like to point out to you that another
13 aspect of the Network is for them to share their work with
14 everybody in the research community. They are working
15 right now on authoring a series of four white papers, the
16 first one being an overview where they will discuss what
17 are the cutting-edge problems, issues, barriers, obstacles
18 to do pharmacogenetic studies, and have some
19 recommendations in that paper.

20 The second paper is actually looking at
21 pharmacogenetic testing and for research purposes what
22 needs to be done, what are the considerations and, by the
23 way, how will this fit into an ethical framework, how will
24 this fit into a regulatory framework. But the emphasis for
25 this group is, again, research, getting good, meaningful

1 results.

2 The third paper is actually going to deal with
3 guidelines for educating professionals in the area of
4 pharmacogenetics/genomics. That would include physicians,
5 but that also might include pharmacists or others who are
6 part of the medical care team.

7 Each of these papers ultimately will be
8 targeted to the appropriate journal to get the word out to
9 the community that should be hearing some of this thought
10 and discussion process.

11 The fourth white paper tentatively is in the
12 area of doing association studies in
13 pharmacogenetics/genomics and what is unique and different
14 than, say, simply doing studies that might concentrate less
15 on drugs and predicting drug effects. I've seen draft
16 papers, I've seen draft outlines. I really expect them to
17 be hitting the streets in good journals probably over the
18 next couple of months or so.

19 This network has also worked to generate and
20 donate sample sets to the repository. I want to
21 particularly credit Julio for some of this work, collecting
22 materials from individuals from Hmong Chinese communities
23 and from Mexican Americans in greater Los Angeles. There
24 was extensive community consultation that took place and a
25 real effort on getting samples right and having people know

1 they're going to be used for research purposes, and
2 understanding they might not personally benefit but that
3 ultimately better work could be done in the field because
4 of it.

5 Finally, many members of the Network are
6 members who do testify sometimes in front of FDA
7 hearings. They have the knowledge, they have conducted the
8 studies, and I feel that their work fundamentally
9 contributes to some of the efforts at the FDA to change
10 labels for drugs on the market and will continue beyond as
11 they discuss ways they might interact.

12 So I will conclude my talk just by pointing out
13 that it was our institute that commissioned and actually
14 had two publications that you have as brochures out at the
15 table. One is called "Medicines for You," the other called
16 "Genes and Populations." These were developed to actually
17 encourage people to understand the purposes of research and
18 help them make decisions about joining research
19 studies. They were just done as thoroughly as my institute
20 thought it was possible to do. They're available free. I
21 encourage you to take copies and go back and request more
22 if you'd like them for any purpose.

23 That concludes my talk. I would be happy to
24 take questions or delay them to the panel, however the
25 organizers think is appropriate. Thank you.

1 DR. WINN-DEEN: We're going to have the three
2 HHS group talks, and then we'll have a sort of open Q&A to
3 all of you at the end.

4 Next on our list is Felix Frueh, who we met
5 informally earlier today. We called him up to answer some
6 questions on FDA. He's going to talk to us about the
7 specific efforts within FDA to develop guidance documents
8 in this area.

9 We apologize in advance for putting you on the
10 spot for all things related to FDA and CDER, but you're the
11 chosen victim, I guess, or the sacrificial lamb.

12 DR. FRUEH: Well, I would like to thank the
13 committee for giving me the opportunity to present an
14 update on FDA's guidances as they relate to
15 pharmacogenomics.

16 It was funny. I was three days ago presenting
17 at a targeted therapeutics summit, and the person that
18 introduced me had a graphic of sort of all the stakeholders
19 who have an interest in pharmacogenomics shown in a
20 circle. At the bottom, with the writing upside-down, were
21 the regulators. Then I saw Dick today showing a slide
22 again where the FDA was all the way at the bottom. I was
23 quite surprised, actually, that Eric then show the slide
24 where the regulators were on the top. So I think we're
25 making progress.

1 I'd like to give you a little bit of an update
2 on what's going on. The role of the
3 regulators. Pharmacogenomics was identified in the
4 critical path initiative at the FDA as one of the key
5 opportunities on the critical path to new medical
6 products. What we need to realize is that this is really a
7 play of two partners. It's the drug developers, and it's
8 the device companies or the creators of devices that need
9 to work together. So pharmacogenomics combines drugs, drug
10 therapy, with diagnostics, and the regulation of both need
11 to adequately reflect this thinking.

12 I think FDA made it very clear over the past
13 couple of years that we take pharmacogenomics seriously,
14 and we have put forward a series of guidances that
15 illustrate the current thinking that we have in the field,
16 and I would like to go into this. This wasn't meant to be
17 read. This was just to illustrate that we have a website
18 up that deals with genomics at the FDA at which you'll find
19 all the information, the guidances and additional
20 background information that we currently have. The talk is
21 going to be split into basically three sections. I'll talk
22 on the pharmacogenomic data submission guidance that was
23 mentioned earlier. We'll talk about two device
24 guidances. Then I would like to combine these two aspects
25 into drug test co-development guidance, or a concept paper

1 as it is now, that was also addressed earlier today.

2 Earlier in March of this year, after about an
3 18-month gestation period, guidance for pharmacogenomic
4 data submissions was published, and we've gotten since a
5 very good response from industry to it. We continue to
6 receive comments to the guidance which are very useful.

7 Why is this guidance important? The guidance
8 does a couple of things. It illustrates the FDA approach
9 to review of genomic information, so it should facilitate
10 review decisions. It's a guide to drug development. It
11 empowers the FDA to make drug development more efficient,
12 and we provide several new ways for how to interact with
13 the FDA. It's a means for fostering targeted
14 therapy. It's also a new communication tool. It's an
15 encouragement to share information on a voluntary basis for
16 the first time with the FDA, and we have again gotten very
17 good feedback on that, and I will go into that in a minute.

18 It's also an outreach to stakeholders that have
19 expressed great interest and support in this guidance. So
20 it really was a guidance that wasn't just showing up
21 somewhere on an FDA website, but it actually has made
22 headlines also in the lay press. So it was a very powerful
23 tool for us to start communication with stakeholders that
24 otherwise wouldn't have gotten involved in that dialogue.

25 The guidance introduces a classification of

1 genomic biomarkers, as mentioned before. It clarifies what
2 type of genomic data needs to be submitted. It introduces
3 a new voluntary submission pathway, and it encourages
4 industry to use it. So it's not a guidance on just a
5 voluntary part, but it really shows how genomic information
6 can be conveyed to the FDA and, if one desires to do so, on
7 a voluntary basis for a certain type of data.

8 It introduces a new agency-wide review group,
9 the Interdisciplinary Pharmacogenomics Review Group, and it
10 clarifies how the FDA deals with the data.

11 The guidance does not provide information on
12 how to validate genomic biomarkers. It does also not
13 provide information on how to use genomic biomarkers. We
14 limited the guidance with intention to genomics at this
15 point, although if you read the guidance and you replace
16 the word "pharmacogenomics" with "proteomics" or
17 "metabolomics," I think many of the concepts, if not all,
18 would still apply.

19 I mentioned that the guidance addresses not
20 just voluntary data but also requires data submissions,
21 which is the main focus of it. Most importantly for
22 industry is that it does not create new processes for the
23 review of data submissions. So it uses the existing
24 framework that we have and puts the genomic data in that
25 existing framework.

1 The voluntary data submission pathway is a
2 submission pathway for what we call exploratory data,
3 regardless of whether or not that is part of an existing or
4 an active investigational new drug application or a new
5 drug application. It's intended to build expertise and the
6 foundation for developing scientifically sound regulatory
7 policies. So we want to lure them with these submissions.

8 It creates a forum for scientific discussions
9 with the FDA outside of the regular review process. The
10 data that we discuss in that voluntary forum is not being
11 used for regulatory decisions. So it's really an
12 interaction between the scientists at the FDA and the
13 scientists at the industry or at the company without the
14 regulatory overhead that usually persists in FDA-sponsored
15 interactions.

16 We received the first submission in March of
17 '04. We have about a dozen submissions received
18 since. Several more have been announced. So I would say
19 the program is well underway and it's been successfully
20 started. We have an evaluation of pretty complex raw data,
21 such as microarray data, that we are engaging in, and the
22 dialogue along with that evaluation has been critical to
23 understand and learn what they're doing.

24 I think the success is illustrated also by the
25 fact that the two companies that submitted the first two

1 voluntary submissions are actually coming back -- one of
2 them already has come back, the other one has announced --
3 with a follow-up submission. They've been doing some work
4 in the meantime and they want to get our input again.

5 It's also been an outreach already into other
6 geographic areas. We've had the first meeting with the
7 European regulatory agency in May of this year, and the
8 Europeans as well as Japan have published pharmacogenomic
9 guidances. The interest definitely is growing.

10 CDRH has issued a guidance on the
11 instrumentation for clinical multiplex test systems. We're
12 moving now to the device arena, which is a device -- and
13 the definition here is coming from the guidance -- a device
14 that is intended to measure and sort multiple signals
15 generated by an assay from a clinical sample. It's used to
16 the specific assay to measure multiple similar analytes
17 that establish a single indicated diagnosis. So it's
18 really targeted at what we've been hearing a lot about, the
19 microarray field, and for giving a specific example, the
20 AmpliChip.

21 Now, these technologies are a two-component
22 system. So the second CDRH guidance talks about the actual
23 device and not just the reader, and this specific guidance
24 goes into detailing and providing information on such
25 devices that are intended for use in testing DNA to

1 identify the presence or absence of a human genotypic
2 marker. The device itself then is used in an aid in
3 determining the treatment choice and individualizing
4 treatment dose for therapeutics.

5 We've seen that before. The point I want to
6 make here is that this really for the first time has set a
7 new paradigm in how FDA is looking at such devices, because
8 these are multiplex devices, these are highly complex
9 devices, and we no longer have the option to just look at
10 every single data point itself but we need to look at it in
11 a combination, and with the complexity comes a new
12 challenge on how to review these devices.

13 For the three bullet points, we've heard a lot
14 about them this morning, so I don't need to go into the
15 detail of that.

16 Now, if you want to put it all together, we
17 need a strategy to combine devices and drug development
18 process, and in April of this year we published a drug/test
19 co-development concept paper. The comment period for it is
20 still open, and we're planning on issuing a draft guidance
21 on this later this year.

22 What this concept paper does is really put into
23 perspective a couple of things. If we're talking about
24 biomarkers, we have in the basic research arena the
25 identification of the target, the target validation, and

1 then we move that biomarker along the drug development
2 pathway all the way to what is hopefully an approval. The
3 critical aspects are that early in the process we consider
4 the label based on the marker status, and we visit that
5 often during the development pathway so that we have a
6 label that reflects what we actually see in clinical
7 trials. So that clearly becomes a strategic issue for the
8 company developing tests and drugs simultaneously, and we
9 touched a little bit on this earlier this morning.

10 What is critical in this process is that this
11 is an interaction between the device area, CDRH, and the
12 drug development area, CDER or CBER. This again puts in
13 perspective what is going on during the drug development
14 process and provides tools and information to exchange
15 opportunities between sponsors and the FDA, and if we're
16 talking about the strategy for how to do these things, I
17 think it's critical to overlay these so that we have a
18 smooth process for how to develop drug/test combinations.

19 The voluntary submission process is a process
20 that can be used throughout the entire drug development
21 pipeline to discuss novel and exploratory findings that
22 perhaps at some point might actually help in the area here
23 to identify novel biomarkers and characterize them.

24 The benefits of this approach are, I think,
25 obvious to us. We can use it for patient

1 stratification. So that's an efficacy as well as a safety
2 issue. We can use it for enrichment purposes in clinical
3 trials. The labeling becomes a critical component of it,
4 and it can be crucial for a company to bring the product to
5 the market. I think the example of Herceptin really
6 illustrates that only in the presence of a targeted
7 therapy, the product could be approved. It has the
8 potential to save drugs from being withdrawn from the
9 market, and it can also potentially rescue candidate drugs
10 that otherwise would be stopped in the drug development
11 process.

12 Strategy, competitive advantages, timing, cost,
13 availability of alternative therapies, the platform choice,
14 and the complexity of the platform itself are all critical
15 issues that need to be addressed during the
16 process. Ultimately, whatever is coming to the market
17 needs to be clinically useful. Otherwise, why develop it
18 in the first place? Often that's actually the
19 bottleneck. So showing the clinical usefulness for the
20 drug/test device at the end is critical.

21 In summary, the FDA encourages the use of
22 pharmacogenomics and provides a series of tools, such as
23 the guidance documents, meeting opportunities to support
24 the translation of pharmacogenomics into clinical
25 practice. The combination of drug therapy and the use of

1 devices is critical, and we are developing our guidances
2 with this in mind. Pharmacogenomic data submission
3 guidance, the one that was issued in March of this year,
4 has been well received and is currently being successfully
5 implemented, and regulatory agencies around the world are
6 interested in pharmacogenomics, and I think it's fair to
7 say that the U.S. FDA is really leading the way on how to
8 do this.

9 I would like to thank my colleagues in CDER,
10 CBER, CDRH, and in particular Drs. Janet Woodcock, Robert
11 Temple, Larry Lesko, and Steve Gutman, all of whom have
12 been really visionary and critical in making all this
13 happen. This is the address for the website where you can
14 find all these documents in writing. At the end, I put up
15 a couple of questions for the committee for perhaps the
16 discussion that we have at the end of this series of talks.

17 Thank you very much.

18 (Applause.)

19 DR. WINN-DEEN: Thank you.

20 Finally, we'll hear from Muin Khoury, whom most
21 of you know very well. He's our representative on this
22 committee from CDC, and he's going to give us an update on
23 the EGAPP project.

24 DR. KHOURY: Thank you, Emily.

25 I guess being the last speaker in a long list

1 of speakers, probably by now everything that needed to be
2 said has been said.

3 I have to apologize to some members of the
4 committee because you've heard about EGAPP before, but
5 there are some new members, and the context is
6 pharmacogenomics, and we've made some progress on the
7 initiative. It seems that the word "EGAPP" keeps coming
8 up, so I wanted to tell you actually what EGAPP is or is
9 not and see how it would work in the context of
10 pharmacogenomics and have some discussion about this.

11 All these points have been made before, but we
12 can run through them very quickly. It is a public health
13 issue because potentially it can affect a lot of people, so
14 public health worries about the population's health. The
15 potential for targeting prevention efforts and avoiding
16 side effects. We heard this morning that about 100,000
17 people die yearly from adverse side effects. So clearly,
18 it's a population-relevant issue.

19 The need for evidence-based transition from
20 research to practice. You heard Dr. Davis this morning
21 talk about that transitional translation, if you
22 will. Implementation and access has a big thing to do with
23 respect to access to the right services and the right
24 tools, providing public education, et cetera. So
25 pharmacogenomics does provide a potential for early

1 application of genomics to population health. I may be a
2 bit biased here, but I think pharmacogenomics is moving
3 probably more quickly than other fields of genomic
4 applications, with the exception of the world of single-
5 gene disorders, which is fairly well established.

6 Now, at the CDC we have a role in protecting
7 the public from bad things, like infectious disease
8 outbreaks, but we also want to use whatever technology is
9 available to improve the public's health, and we do a lot
10 of activities that Dr. Davis mentioned this morning under
11 the rubric of surveillance. So, for example, when the
12 BRCA1 direct-to-consumer advertisement campaign happened in
13 four cities, we did a survey in four cities that we talked
14 about briefly yesterday. We also have our finger on the
15 pulse with respect to the potential public health
16 implications and impact of genetic tests in general.

17 So a couple of years ago some of us did this
18 paper for Genetics in Medicine. It seems now a long time
19 ago. There were only 751 genetic tests at that time, and
20 we deemed at the time that a very small fraction had
21 immediate public health implications or impact, and there
22 were no pharmacogenomic tests, at least in that database.

23 So I wanted to describe to you a bit where we
24 are with EGAPP and how we got here. Sometimes it feels
25 like an uphill sort of struggle here to get to where we

1 are. On the right-hand side you have all these committees
2 that have been meeting over the last few years that have
3 been essentially, in one way or another, asking for HHS and
4 CDC in particular to do something in this area. Our
5 responses over the last few years are represented on the
6 left-hand side. Early on, after the NIH/DOD task force
7 report by Tony Holtzman, et al., we put together a number
8 of interagency HHS data working groups to figure out what
9 kind of data are needed to make that transition from
10 research to practice, and how to monitor the impact in
11 terms of postmarket surveillance.

12 After the SACGT report in 2000, we started the
13 ACE project. I don't have time to go through this, but it
14 laid the foundation for the kinds of questions that we
15 could query all genetic tests, from soup to nuts, from the
16 analytic performance in the lab all the way to the ethical
17 issues. Most recently, this year, early last year, we
18 started the EGAPP initiative, which we hoped would be a
19 more sustainable effort, because we've learned a lot
20 collectively both at CDC and in collaboration with our HHS
21 agencies as well, and in consultation with a lot of folks
22 from academia and the private sector.

23 So at this point we are launching into this
24 three-year model project whose goal is to establish and
25 evaluate a sustainable, systematic evidence-based process

1 for assessing genetic tests and other applications of
2 genomic technology in transition from research to
3 practice. So you can see that pharmacogenomics is squarely
4 in here.

5 You've seen this complex diagram when Dr. Linda
6 Battey from our office presented this, maybe not last time
7 but the time before. But to cut a long story short here,
8 the basic infrastructure behind the EGAPP is an EGAPP
9 working group -- that's the circle in the middle -- which
10 is a non-federal multidisciplinary independent working
11 group that interacts with stakeholders, and there is a wide
12 variety of them, from health care providers all the way to
13 regulation labs, industry, et cetera, and requests
14 evidence-based reviews that are done essentially by
15 evidence-based centers, and these evidence-based reviews
16 identify gaps in our knowledge, and some of these,
17 depending on what is returned back to that committee, they
18 would do deliberations, they would disseminate
19 recommendations and reports to audiences.

20 The two immediate target audiences for us are
21 consumers and providers. This is not a regulatory process
22 by any stretch but more of a voluntary, sort of educational
23 leveraging process. For those few tests that will emerge,
24 we could refer them for more direct appraisal by the U.S.
25 Preventive Services Task Force and the Community Preventive

1 Services Task Force that are housed at AHRQ and CDC
2 respectively.

3 Those two committees, those existing task
4 forces that have been sustainable and have demonstrated
5 their usefulness over time, have not been taking on too
6 many genetic tests. I mean, they have a lot of
7 applications in medicine and public health they're taking
8 on. They've been reluctant to take on genetic tests for
9 two reasons. One, again, the volume of the load. The
10 second is that the framework for evaluating genetic tests
11 hasn't -- they use the medical model of immediate clinical
12 benefits to persons, and for most of them, I'm told by
13 members of different committees, that they would return
14 uncertain or incomplete evidence for most genetic tests
15 that exist right now, and we don't want that to happen
16 necessarily. We want essentially to describe what we know
17 and what we don't know, and then leverage and do the pilot
18 projects and data collection projects that would allow us
19 to essentially round out our knowledge so that we can move
20 genomic applications faster in practice.

21 So, in other words, we don't want this to be
22 necessarily a bottleneck that says don't do this, but this
23 is what we know, this is what we don't know. In order to
24 do what's right, more research needs to be in this area.

25 So the EGAPP planning objectives were to work

1 to implement the previous recommendations for actions from
2 the previous committees, the tremendous knowledge that's
3 been gained from the ACCE model project, which I can answer
4 questions about if you have, the existing processes that
5 already exist for evaluation and appraisal, health
6 technologies from the various groups, and the international
7 experience, because the U.K., Canada and other groups have
8 a lot of efforts underway. We want to create a transparent
9 process, announcing and reporting the process, developing
10 and publishing the methods, and provide clear linkage
11 between evidence and conclusions/recommendations.

12 We want to develop and disseminate information
13 that's useful to health care providers and consumers, and
14 secondarily to policymakers and the payers and purchasers,
15 and in appropriate and practical formats. So a key
16 objective of this process, which is only a three-year
17 experiment right now, is to evaluate and develop hopefully
18 a sustainable process.

19 So what have we done so far? In January of
20 this year we held an expert meeting on evidence-based
21 reviews of genomic applications where we had 21 invited
22 participants from around the world, and people from
23 evidence-based medicine, health care, genomics,
24 epidemiology, ethics, et cetera. We considered existing
25 and potential methods for systematic evaluation of genetic

1 tests and genomic applications.

2 We had established the working group, this
3 independent non-federal working group, after broad
4 solicitation and nominations in February and March, with
5 great response from both professional organizations and
6 individuals. We have an interagency steering committee
7 represented by the membership here, an alphabet soup of the
8 federal government, and we did a full review. The process
9 was completed late in March.

10 The EGAPP working group is represented
11 here. Let me just tell you that we have a world-class
12 slate of wonderful people here. The committee is chaired
13 by Al Berg, the chairman of the Department of Community
14 Medicine from the University of Washington, who was the ex-
15 chair of the U.S. Preventive Services Task Force. Not only
16 do we have the ex-chair of the Task Force, but we have the
17 current chair of that Task Force, Ned Calonge, from the
18 Colorado Department of Public Health. These are all self-
19 nominated people. We didn't have to twist anybody's
20 arm. We have geneticists, we have ethicists, we have
21 evidence-based people, we have clinicians, we have
22 laboratorians, and we have economists and public health
23 people.

24 So the working group was established. We had
25 our first meeting May 18-19, a few weeks ago, and

1 immediately that group went to work. They are scheduled to
2 meet three or four times a year over a period of three
3 years. They've formed three subcommittees to decide on
4 potential topics that they want to take on with respect to
5 evidence-based reviews.

6 Now, notice that the federal government has no
7 real influence on them. There are lots of stakeholders
8 that can suggest topics, and we can take pharmacogenomics
9 to their table, and I suspect, having heard some of the
10 discussion that occurred in May, that they might want to
11 tackle at least one or two pharmacogenomic tests.

12 The second subcommittee is working on
13 finalizing the analytic framework, which was started in the
14 January meeting, and that's very important. They have a
15 subcommittee that's working on outcomes to be
16 considered. But because most of the U.S. Preventive
17 Services model is a health outcome model, whereas in
18 genetics and genomic applications, in addition to health
19 outcomes they might want to consider patient and family-
20 related outcomes and some of the ELSI issues that usual
21 technology doesn't have.

22 The second meeting will be July 18 and 19 in
23 Atlanta.

24 What was also done already is we want to begin
25 -- they decided as a matter of priority with respect to the

1 application of genomics is to look at the ones that are
2 recognized as common and important, like screening tests,
3 those that are used in clinical scenarios to guide
4 interventions, like diagnostic workup, treatment,
5 prevention, including pharmacogenomic tests, tests with
6 potential public health impact, and move the focus towards
7 prevention.

8 Some of the less likely candidates are newborn
9 screening because there are existing processes in the
10 federal government; namely, a second advisory committee on
11 heritable disorders that is actually tackling newborn
12 screening head-on. In the world of single-gene disorders
13 there is a separate process led by the Office of Rare
14 Diseases at NIH and the CDC folks to deal with rare
15 diseases.

16 The conducting of evidence-based reviews on
17 topics selected by the working group would be essentially
18 started in July, and the evidence-based processes will
19 start in August and September. Throughout the last few
20 months we've been engaging lots of stakeholders, with
21 emphasis on providers and consumers. The contractor that's
22 working with us, RTI, has done preliminary survey and
23 research on the stakeholders list, that keeps growing. We
24 have feedback in terms of newsletters. The first
25 newsletter appeared on May 6th. And active solicitations

1 for years 2 and 3 is going on. This really has been so far
2 a model partnership with our sister agencies. I can say
3 that with no reservations.

4 One of the things that we want to do is,
5 depending on the gaps in knowledge that are found, we want
6 to influence the funding process and conduct pilot data
7 collection studies, first retrospectively to look at
8 available data, and some of the ideas of networks and all
9 of these things can be leveraged that you heard about
10 throughout the day, from the Pharmacogenetics Research
11 Network and other efforts that NIH and others have. What
12 we are also doing is developing and implementing a
13 comprehensive evaluation plan that not only evaluates the
14 process but the products, and the impact and value to the
15 health community.

16 So there are two overall types of products,
17 both from the working groups. Their published methods will
18 be out there, the criteria and prioritized list of topics,
19 the approved evidence-based reviews, the conclusions and
20 recommendations and lessons learned. From the project
21 overall, we want to obviously disseminate the working group
22 products and the targeted information and messages, but
23 also derive information from stakeholders on the value and
24 impact of this process, and then data from the pilot
25 studies.

1 So again, I whipped through this very quickly,
2 and because of the lack of time I think I'm going to leave
3 you with this image of sort of an interactive process that
4 I think is going to be tackling pharmacogenomics as one of
5 its early things. One thing to leave with you is that this
6 is sort of a step in a long-term process that I'm hoping
7 the public sector and the private sector and academia will
8 come together in trying to apply to pharmacogenomics and
9 other genomic applications. Thank you.

10 (Applause.)

11 DR. WINN-DEEN: Thanks, Muin, for that update.

12 Because these talks have run a little longer
13 than we had budgeted, what I'd like to do is maybe take one
14 or two questions while our next speaker is getting set up
15 for her talk. If I can put you on the spot, Dr. Deverka,
16 to come up and get your slides going. Then we'll take Q&A
17 for all four members of the afternoon panel immediately
18 after her talk.

19 Is there anybody that has an urgent question
20 you'd like to address to the HHS agency speakers at this
21 point?

22 Kevin?

23 DR. FITZGERALD: Just a quick
24 one. Particularly in the FDA presentation, but also in
25 some of the other ones, when you're talking about clinical

1 benefit or therapeutic benefit or something like that, is
2 there a specific definition that is used to apply to
3 that? And I guess in part I'm thinking of something like
4 recombinant human growth hormone for children who are
5 projected to be of a certain height or less, and I know
6 that was very controversial. I presume when we get into
7 this kind of thing, more of those controversies are going
8 to come up. So is there a definition that you're using, or
9 a threshold?

10 DR. FRUEH: There's no generally applicable
11 definition. I think the definition is looked at on a case
12 by case basis. I mean, you're looking at the outcome, at
13 the benefit/risk ratio every time you're approving a drug,
14 for example. So you're really basing it on an estimate on
15 what at this present time makes the most sense to approve a
16 drug or not. So I think that applies for co-development
17 situations as well as for the regular drug application
18 process as we have it today.

19 DR. WINN-DEEN: Did you have a question or a
20 comment?

21 DR. LICINIO: A suggestion.

22 DR. WINN-DEEN: Okay.

23 DR. LICINIO: Which is actually to Rochelle,
24 and I should have said this to you before, which is that at
25 the NIH, the National Center for Research Resources has

1 this large program of GCRCs, some of which, just a couple I
2 think, have pharmacogenetics cores. Do you think there's
3 any movement at that level to increase pharmacogenetics
4 within the context of patient-oriented research?

5 DR. LONG: I think to coordinate with other
6 groups that are doing activities in the same area makes
7 good scientific sense. Insofar as those efforts are
8 possible, we are trying to identify different groups and
9 coordinate them. For example, in the research grant
10 applications you're asked to define who else is doing
11 something at your institution, and reviewers look to see
12 have you formed the right teams and maximized your
13 potential to do good quality research studies. Beyond
14 that, it's a matter of networking, getting the right people
15 together, and if there's benefit to both, they usually do
16 want to start talking.

17 DR. WINN-DEEN: We'll pause in the Q&A for the
18 agencies right now.

19 I'd like to introduce Patricia Deverka, who is
20 joining us from Duke's Institute for Genome Science and
21 Policy, where she's a fellow in the Center for Genome
22 Ethics, Law and Policy. She's going to talk to us about
23 some of the ELSI issues that we might want to consider as
24 we look at the field of pharmacogenomics.

25 DR. DEVERKA: Thank you, Dr. Winn-Deen.

1 I'm very pleased to be here today, and I
2 thought I might preface my remarks with a brief personal
3 story. I was really gratified to hear Dr. Davis this
4 morning talking about the need for large observational
5 studies and practical clinical trials to be conducted to
6 more clearly study the association between beta-adrenergic
7 receptor polymorphisms and asthma treatment outcomes. I
8 agree strongly with that proposal and actually put together
9 an outline for such a large observational study when I was
10 working at a large pharmaceutical benefits management
11 company, MEDCO.

12 About four years ago, MEDCO had asked me to
13 evaluate this new emerging field of pharmacogenomics and
14 what it might mean for MEDCO's client base and its business
15 model. As part of that evaluation, I visited a number of
16 small start-up companies that were working on
17 pharmacogenomics both in an attempt for me to learn more
18 about the science, as well as to understand how new
19 pharmacogenomic tests would be brought to market.

20 It was clear that what was missing was strong
21 evidence that it was worth doing pharmacogenetic testing in
22 a real-world sense, and it seemed to me at the time that
23 MEDCO would be a good real-world laboratory to efficiently
24 study an emerging area in pharmacogenomics, and asthma was
25 a disease that was highly relevant to MEDCO's

1 clients. They are essentially pharmaceutical benefit plan
2 sponsors, and they're primarily comprised of large
3 employers, managed care organizations and insurers.

4 So I proposed this study. It took advantage of
5 the fact that MEDCO has access to the drug claims data on
6 millions of individuals, and access to medical claims
7 data. I took advantage of the fact that I'm a health
8 services researcher, and I thought that we could use that
9 to identify people who both had a diagnosis of asthma and
10 were exposed to albuterol, a short-acting beta agonist, as
11 well as other drugs, and then very efficiently we could
12 follow them forward in the claims data to see how many
13 times folks with a certain genotype had evidence of an
14 asthma exacerbation.

15 What you can see is missing there is where
16 would I get the genotypic information from, right? So the
17 claims data are great, but you never have genotypic
18 information. So what we actually proposed, and we went
19 through a long process to be sure this could be done
20 ethically, was that we would invite eligible patients to
21 participate in the study. If they gave us informed
22 consent, we would actually mail a buccal swab to them, and
23 they would swab their cheek and mail it back, and then we
24 would do the genetic analysis, integrate that information
25 with the claims data, and be able to track asthma outcomes

1 on thousands of patients very efficiently.

2 Well, I also thought that asthma was very
3 relevant because a lot of payers are very concerned that
4 asthma treatment is expensive and, in fact, purchase asthma
5 disease management programs regularly in an effort to
6 improve asthma outcomes. So I shopped the study around to
7 a handful of MEDCO's most forward-looking clients, and I
8 did this over a couple of years, and, I've got to tell you,
9 I was turned down by everybody. It was not that they
10 didn't agree that the science was compelling, and it's not
11 that they weren't interested in improving asthma outcomes,
12 and it was not because they had to pay anything to
13 participate. They didn't.

14 They primarily said no because of their
15 perception of the ethical, legal and policy problems
16 associated with inviting their members to participate in
17 such a study. So since I was a passionate supporter and
18 remain a passionate supporter of the field, I decided to
19 pursue formal training to see if these concerns were well
20 founded and, if so, what could be done to develop practical
21 policies that would address these concerns while
22 simultaneously advancing the science. So hopefully that
23 provides a little bit of context for my remarks today.

24 A couple of the folks today said that
25 pharmacogenomic testing represents a paradigm shift in

1 health care. I want to beg to differ. I don't actually
2 think it's a paradigm shift, and I think that's good
3 because if it's not a paradigm shift, then we have lots of
4 tools and experience available to us, as well as ethical
5 rationales for any policies that we would develop.

6 The idea of stratifying patients on the basis
7 of risk factors is not new. Certainly we know that people
8 with elevated cholesterol, elevated blood pressure and who
9 smoke are at increased risk of cardiovascular disease
10 relative to folks who don't. In fact, we have for years
11 tested women with breast cancer to see if their tumors were
12 ER-positive or ER-negative, and that would modify treatment
13 accordingly.

14 I actually think that some of the excitement
15 about pharmacogenomics is due to the fact that it's really
16 the first functional technology to come from what has been
17 an enormous public and private investment in the Human
18 Genome Project, and I think some of the concerns and the
19 idea that we actually need a novel framework to deal with
20 these ethical, legal and policy issues comes from the fact
21 that pharmacogenomics brings three controversial areas
22 together.

23 Firstly is genetic testing. I won't belabor
24 the point, but clearly with the sad history of eugenics in
25 the United States and people's concerns that flow from

1 that, that's one reason why genetic testing is a sensitive
2 issue. The idea that somehow DNA is special, is uniquely
3 predictive, the idea of genetic determinism floats through
4 all of these discussions, and I think the pharmacogenomics
5 challenges, the traditional approach to genetic testing for
6 disease susceptibility, predominantly in the past for rare
7 disorders, because people are thinking that we're going to
8 have to do pharmacogenomic testing in primary care settings
9 where genetic testing is not being done today and people
10 aren't sure that we can just pour the same models into the
11 primary care setting that have really been done so well in
12 a handful of experts.

13 Drug exposure is very common. About 70 to 80
14 percent of people who have access to prescription drug
15 benefits fill at least one drug prescription a year.

16 I think the other issue is managed care as a
17 significant actor. They're sort of characterized by their
18 cost containment focus, and I think that's why people don't
19 trust them, and here I don't just mean private payers but
20 also public payers like CMS. Clearly, with the Medicare
21 prescription drug benefit, they're going to be a big player
22 in this field of personalized prescribing, and with their
23 cost containment focus, their traditional approaches of
24 managed care, like creating restricted formularies or using
25 therapeutic substitution, really runs counter to the ideas

1 of personalized prescribing. So people are concerned that
2 these may be barriers to market entry for pharmacogenomics
3 in the most appropriate way.

4 Then finally we have the pharmaceutical
5 industry. I think it goes without saying that right now
6 especially they have a rather poor public image. I think
7 people don't trust them predominantly because of their
8 concerns that they haven't been transparent about the
9 safety issues of some of their drugs, that they haven't
10 published fully all clinical trials, that there may be
11 concerns over the high prices being charged for drugs.

12 What we are not sure about is whether they can
13 be trusted to do the right thing with pharmacogenomics, or
14 are they going to cherry pick certain aspects of the field
15 in order to address their pipeline and profitability
16 problems.

17 So what I'd like to do for you today is to
18 really break my talk into three areas, and the last one
19 I'll spend very little time on. Being definitely the last
20 speaker, I think I can skip over a lot of the points I was
21 going to make. So I think there are a number of ethical,
22 legal and policy issues on the research front, and that
23 could be either with new drugs or with existing drugs. I
24 think there's a whole series of issues in clinical
25 practice, and then finally postmarketing surveillance,

1 postmarketing surveillance about the performance of the
2 test as well as the drugs that are associated with those
3 tests. But I'd say here I'm not going to go into a lot of
4 detail because I believe the current system would require
5 major redesign and large investments to do that in the near
6 term.

7 So what are the concerns in clinical
8 research? What I tried to do today is to provide you a
9 fairly detailed list or a comprehensive list of what the
10 issues are, but I'm only going to go into a couple of them
11 in detail for purposes of illustration, and I chose ones
12 that I thought you might be most interested in.

13 So one I'm going to talk a little bit more
14 about is informed consent in the era of DNA
15 banking. Informed consent is the primary mechanism by
16 which we protect human subjects in the research setting,
17 and people have argued that we need to modify our framework
18 for informed consent with the notion that we're going to be
19 creating these large biorepositories.

20 There's a whole series of privacy and
21 confidentiality concerns. The degree of concern varies
22 with the degree of anonymization. So if the data are
23 identifiable versus coded versus permanently anonymized,
24 clearly our concern about these issues differs. What are
25 the procedures to limit unauthorized disclosures? It's

1 very common now to use sort of trusted intermediaries that
2 are essentially the gatekeeper between the supply of the
3 information from patients, and ultimately the researchers,
4 and the information is coded.

5 Then the potential for discrimination. Here I
6 specifically mean that folks have described that maybe
7 pharmacogenetic testing would reveal a group of patients
8 that would not respond to a drug, and if that was
9 potentially the only drug to treat a serious condition,
10 that could be very problematic because a lot of people
11 might be concerned that you would be more expensive because
12 you have essentially a more serious or untreatable form of
13 the disease.

14 Harms to families. This should say harms to
15 individuals, families or groups. Collateral
16 information. What I mean by that is whenever you do
17 pharmacogenetic tests, you just don't learn about
18 that. You also can oftentimes learn about disease
19 susceptibility. For example, when you test the Apo-E4
20 gene, it gives information about how someone would respond
21 to statin therapy in an effort to lower cholesterol, but
22 that also can give information about susceptibility about
23 Alzheimer's disease.

24 Then finally, another category would be race-
25 related information. I am going to go into a little bit of

1 detail since BiDil has frequently been linked to the field
2 of pharmacogenomics, and a number of our speakers have
3 talked about that today.

4 The whole idea of stratifying individuals,
5 particularly with pharmacogenetic tests, has made people be
6 concerned that we would create new orphan drugs, and I am
7 going to go into that one a little bit more in detail
8 because that is a bit unique to the field. Then we
9 certainly have heard that one of the benefits of
10 pharmacogenomics is that you can essentially do smaller,
11 faster clinical trials and speed drugs to market if you
12 essentially select people for trials on the basis of their
13 pharmacogenetic profiles. That, folks have argued, might
14 result in having less safety data by the time the product
15 comes to market. We certainly know that doctors don't
16 always prescribe according to labeling. So when the drug
17 is on the market and people who don't have that genetic
18 profile get the drug, we don't have any real information
19 about the safety issues.

20 Then finally, a big, big topic, and I won't
21 really go into it today, is do we have the right incentive
22 structure? Clearly, intellectual property issues are
23 critical. People are mostly concerned about patent
24 bottlenecks. That's due to a number of different entities
25 holding patents on various genetic markers, thereby driving

1 up the cost of having to obtain multiple licenses to
2 develop a test, and ultimately translating into tests that
3 are quite expensive.

4 Then the focus by the pharmaceutical industry I
5 would argue is predominantly on new drugs, not necessarily
6 to study marketed drugs, whether they're branded or
7 generic. Today more than 50 percent of all prescriptions
8 written in the United States are for generic drugs. Those
9 companies have no resources to do pharmacogenetic studies,
10 and I would say the pharmaceutical industry has no
11 financial incentive to do that. So from a public health
12 perspective, what can we do to alter the incentives to
13 encourage that kind of research?

14 As I said, I'll spend a little bit of time on
15 biorepositories. Everyone talked today about the
16 importance of linking genotypic and phenotypic information,
17 and we know these are being done on a mass scale, and
18 they're different because the folks that are collecting the
19 sample may ultimately not be doing the research. You're
20 not asking for informed consent for a single study. You
21 probably have an unspecified number of future studies, and
22 you can't specify, since you don't know what the studies
23 are in the future, who the investigators may be. There's
24 sort of the expectation that a number of different groups
25 would try to take advantage of these biorepositories.

1 So that's sort of taking the informed consent
2 discussion away from the traditional emphasis on trying to
3 protect subjects from physical harms to protecting subjects
4 from primarily what are informational harms. What
5 facilitates this type of research would be things like
6 blanket consent, where you say yes, you can use my specimen
7 for any future use. But from an ethical perspective, it
8 might not really be considered sufficient to meet the
9 standards of informed consent because that's maybe too
10 broad. There has to be some balance with asking people to
11 consent to various types of studies while recognizing that
12 it's extremely difficult to ever have to go back, contact
13 patients and ask them to consent to different studies.

14 I'd say that the exclusive focus on the
15 individual research subject, which is how informed consent
16 documents are structured today -- they talk about risks and
17 benefits to the individual -- I think that's arbitrary from
18 an ethical point of view, and practically speaking we
19 should actually be speaking about risks and harms to
20 groups, which can lead to the potential for group harms
21 even if you anonymize the sample. So, for example, if you
22 found out that for a serious disease, Native Americans were
23 particularly not responsive to the only drug that treated
24 that disease -- I'm making the example quite extreme --
25 that there could be a potential for group harms that would

1 be stigmatizing to that group to have that information be
2 out there.

3 There's clearly a lot of debate that the
4 research participants have to have some measure of control
5 over the research that's done with their stored tissue, and
6 frequently what's done is that folks are asked to give a
7 tiered consent where they sort of say what types of studies
8 they would be willing to have their samples be used for,
9 any type of study or any type of cancer study, or just a
10 breast cancer study.

11 There is certainly a lot of discussion about
12 the fact that these biorepositories, studies can go on for
13 many, many years, and do the investigators have a duty to
14 contact participants years after a study is complete if the
15 study reveals important results that could impact the
16 person's ability to use certain drugs. Right now the
17 general practice is that you almost never recontact people,
18 the argument being that the results of the study are not
19 validated and you're actually doing more harm than good by
20 giving people information that really shouldn't be acted
21 upon. But people are saying that that really may evolve
22 here and we would have a duty to contact participants.

23 Really what's done now is in many cases to
24 separate the informed consent for collection and storage of
25 tissue samples for pharmacogenetic testing from

1 participation in clinical trials. So you can say no to
2 one, yes to the other. That's done I think for practical
3 reasons, because people are concerned that IRBs may hold up
4 the start of the study over ethical concerns of the DNA
5 testing and the biobanking procedures, but also I think
6 it's legitimate from an ethical standpoint because they
7 really are different things.

8 I think what we're trying to do is to strive
9 toward the appropriate balance between fostering
10 pharmacogenomics research while ensuring the ethical
11 treatment of human subjects, and we heard today how the
12 Pharmacogenetics Research Network is trying to address this
13 issue. I'm aware of the National Cancer Institute having a
14 workshop next week talking about how they should harmonize
15 practices for biorepositories that the NCI fosters, and I
16 think that will be the key, will we be able to harmonize
17 the approaches used for biorepositories.

18 Let's spend a little time on the concept of
19 race. There's no precise biological or genetic
20 definition. Sort of the prevailing thinking from a social
21 perspective is that race is really a social construct, it's
22 not biologically defined. But we know from research that
23 certain pharmacogenetic variants are more common with some
24 ethnic and racial groups than others. We certainly heard
25 that today. And there have been published studies

1 demonstrating differences in response to conventional
2 treatments across various racial groups.

3 Now, a lot of people debate the scientific
4 validity of these studies because they say that self-
5 identified race is a very imprecise way and that you can
6 get a lot of noise. When people say, for example, that
7 they're African American, that can really mean a lot of
8 different things. But now people are talking about BiDil
9 and the fact that there's an advisory board today and it
10 will be the first ethnic drug targeting a racial group.

11 There's actually no genetic, at this point at
12 least, information about the underlying genotypes that may
13 or may not explain why African American's appear to do
14 better with BiDil. That hasn't been done. It's simply
15 been on the phenotypic self-identified race that they're
16 saying that BiDil works for African Americans. I think
17 that pharmacogenomics could actually resolve some of these
18 problems because they would say it's better to genotype
19 than to ask people what the race would be.

20 So the potential harms from this type of
21 research is that we're going to be reinforcing notions that
22 racial differences have a genetic basis. People are quite
23 concerned about that. Statements about how a drug works in
24 a particular population are not going to be valid in
25 genetically different populations because we've heard that

1 there are important differences in the distribution of
2 genetic variants depending on where the study is done.

3 I think from a practical standpoint drugs could
4 be marketed to particular racial groups in a misleading
5 manner. You could either give the impression that all
6 members of that group would benefit, so all African
7 Americans would benefit from BiDil, or you'd give the
8 impression that this particular drug, like BiDil, is more
9 effective than other non-racially-defined medicine, and we
10 know that's not true.

11 A theoretical concern. If certain genotypes
12 are linked to poor medication response more commonly in
13 certain racial minorities, that group could be stigmatized
14 by the implication that they're more difficult or more
15 expensive to treat. I think ultimately people will think
16 that physicians will take a shortcut and use race rather
17 than genotype as the basis for drug selection.

18 Then I said I would talk a little bit about
19 orphan genotypes. You can have two kinds. You can either
20 find out through pharmacogenetic data that a particular
21 drug is unlikely to be safe or effective for a particular
22 genotypic subgroup of a general population or of a disease
23 group. So these people are the difficult-to-treat subgroup
24 that we don't really classify that way today. Or it might
25 reveal that a disease that was formerly thought of as large

1 and attractive from a commercial perspective is really
2 composed of genotypic subgroups of individuals with the
3 disease and no one of those subgroups is large enough to
4 attract commercial investment. So you've sort of created
5 disease orphans, genotypically defined.

6 That is the potential concern, that drugs will
7 not be developed for these genetically-defined
8 subgroups. I think this is really a theoretical
9 concern. Firstly, what's not attractive to a large
10 pharmaceutical company because of their size and scale and
11 their commitments to Wall Street might be very attractive
12 to a small start-up company, where they don't need to make
13 billions of dollars. I think that the ethical concerns
14 arise really if there's no other safe and effective
15 treatment available for the disease. If there are
16 alternatives, then we don't really have orphans.

17 That was really my second point. It's unlikely
18 that the subgroup is going to be so small that they would
19 never attract investment, although it's possible. Clearly,
20 we must work in the context where we're dealing with
21 serious diseases and the drug that works well for the
22 majority population must provide substantial benefit. I
23 think if those conditions are met, and that's a pretty high
24 bar, then we would have ethical concerns, and folks have
25 talked about modifying the existing orphan drug law to

1 essentially address this issue. But I think it's too early
2 to say if we really need to do that or if this is going to
3 be a problem.

4 So here are some of the issues in clinical
5 practice. We've heard this all morning, so I won't get
6 into it. I'm concerned that pharmacogenomics is coming
7 into the marketplace without adequate validation. There
8 will be suboptimal access to and use of pharmacogenomic
9 testing, and that's for a couple of reasons, one because
10 professionals such as pharmacists and physicians have huge
11 knowledge gaps about genetics and the difficulty of
12 interpreting probabilistic information, as well as
13 payers. I mean, when I would talk to payers, people would
14 be extremely excited if they could have a scientific
15 rationale for denying people access to a drug. But I think
16 the nuances of where the cut points should be, where is the
17 threshold for actually saying I'm justified in denying you
18 access to this drug on the basis of your pharmacogenetic
19 test, that's where it's difficult.

20 When are physicians obligated to offer a
21 pharmacogenetic test? We heard today that they couldn't
22 even go that far with TPMT on the label. They didn't
23 create it as a mandatory thing. When are they actually
24 obligated to follow these test results? So they come back
25 and say you have a 30 percent chance of response. Is that

1 too low to offer a treatment to someone? What if it's the
2 last treatment that's possible for them? That might be
3 very appropriate.

4 Then I think a lot of folks have said the field
5 is going to advance if we focus on liability, and it's not
6 just liability for physicians but for pharmacists and
7 pharmaceutical companies. Really, their liability derives
8 from negligence theory. Here, physicians and pharmacists
9 would be negligent because they didn't offer what had
10 become a reasonable standard of care, and pharmaceutical
11 companies would be liable because they did not actually
12 disclose a potentially knowable safety problem with their
13 drug. So I think that that is a major issue. I'm not an
14 attorney. I've gone to the limits of my ability there, but
15 I think it is important to understand that that is a real
16 possibility, but I think it requires that pharmacogenetic
17 testing be viewed as the standard of care.

18 Folks are saying do you actually need informed
19 consent for pharmacogenetic testing in clinical
20 practice? Should we be thinking of this more like a
21 cholesterol test, where nobody gets your informed consent,
22 or should it be viewed as disease predisposition testing,
23 like saying what your risk is for Alzheimer's disease? I
24 think those are sort of two extremes of a continuum, and at
25 least initially we'll probably be somewhere in the middle

1 where we'll give some information talking about how we're
2 going to actually use this information to guide
3 therapy. But because a test is linked to an FDA-approved
4 drug and the doctor has already made the decision to
5 prescribe a treatment, I actually think that
6 pharmacogenetic testing will not be that controversial,
7 because I think that people will really view it as
8 therapeutic drug monitoring to titrate the dose.

9 Inappropriate uses of pharmacogenetic
10 testing. These are all direct marketing. I know you all
11 covered that yesterday, but I might just be a little bit
12 controversial and give you some examples where I think it
13 might be appropriate for consumers to be able to do their
14 own pharmacogenetic testing directly without going through
15 a physician. Then the secondary information problem that
16 can product psychosocial harms. We've talked about this
17 before. There's also the concern that you learn not just
18 other bad things about the individual but that you could
19 also learn bad things about their family members, that
20 they're more difficult to treat or that they have a certain
21 risk disease predisposition, or that their current disease
22 might be a more progressive form.

23 Discriminatory uses. I know that everyone is
24 in support of the non-discrimination legislation without
25 really any strong evidence of discrimination of occurring

1 in the marketplace. I think folks have felt like that sort
2 of legislation is necessary to help people feel comfortable
3 about getting genetic testing.

4 Then I'm concerned about higher drug costs
5 leading to barriers to access. We heard that Herceptin was
6 over a billion dollars. Well, I've done a lot of cost
7 effectiveness analyses in my day, and one of the reasons
8 Herceptin could be over a billion dollars is because it's
9 very expensive. Pharmaceutical companies may say, even
10 though they can develop the drug faster and more cheaply, I
11 don't necessarily think they'll pass those savings on to
12 the consumer, that they actually will be able to say on the
13 basis that I'm delivering greater value to this patient
14 subgroup, I can justify a higher price. So I think that
15 higher drug costs are likely what we would see in the near
16 term.

17 Then we talked about this, that there is a real
18 problem if we have rapid and unmanaged introduction of
19 genetic tests into the marketplace. I would just say here
20 that predictive values of pharmacogenomic tests are likely
21 in many cases to be too low to be clinically
22 useful. Almost all of the genetic studies that have been
23 done have been retrospective, when you know the outcome,
24 looking back and saying what's the genotype, and I think
25 that you need to do prospective studies, which are rarely,

1 if almost never, done to understand what is the positive
2 and negative predictive value of these studies in this
3 population. So we're going to get all excited about
4 pharmacogenomics and potentially shift our resources away
5 from more effective ways of improving public health. And I
6 think we've talked about the other points.

7 So payers I think have a lot of insight. These
8 are the hopes that they have about how pharmacogenomics
9 might be used in the real world. They're hoping that there
10 will actually be decreased health care costs, for all the
11 reasons that are listed here. But they're also concerned
12 that in reality, like every other new technology that ever
13 gets entered into the marketplace, it will actually be cost
14 increasing. It will be more cost effective, but it will
15 not be cost saving. So you'll pay more and you'll get
16 more, but you will not save money, and that's for a number
17 of reasons.

18 I've already given the reason for higher drug
19 prices. It's going to cost money if we have special
20 privacy safeguards for genetic information. There are
21 clear concerns that patents could be extended if you
22 combine the drug and the test together in a specific
23 use. Right now we're not paying for many of these tests
24 today, and if we do broad population screening, those are
25 going to add up over time.

1 This is just a little bit how they might think
2 about pharmacogenomic testing. You know this. The first
3 point is self-evident. Whether it becomes an important
4 element of clinical practice depends on whether and how it
5 is reimbursed. But I think we really need to think about
6 pharmacogenomics. It's not actually worse than anything
7 we're doing today. So today we're having tiered
8 formularies, we're passing more costs on to the consumer,
9 we're asking them to pay more out of pocket, we have step
10 therapy, we have prior authorization. It seems to me that
11 from an ethical standpoint, pharmacogenomics is clearly on
12 par, if not superior, to these other approaches because it
13 does tailor the drug to the individual.

14 It's clearly ethical desirable not to give
15 someone a drug that you have evidence that would show that
16 it's unsafe or ineffective. It's also ethical at the group
17 level, because there's a stewardship obligation by payers
18 for managing what are collective and scarce
19 resources. That would be health care dollars. I think
20 that's really difficult to operationalize in clinical
21 practice because of the probabilistic, not binary, nature of
22 the results.

23 So where do you put the cut points? I would
24 argue that the cut points are going to change depending on
25 the disease, depending on the severity of the side effect

1 or the likelihood of response, and predominantly because of
2 the cost. Where I have heard that payers are interested in
3 using this is in the area of biotech drugs, where that's
4 the fastest growing component of drug spending currently,
5 and that they're very worried about that that will break
6 the bank and that pharmacogenomic tests would be a way to
7 sort of rationally put people into either receiving it or
8 not receiving it, because a lot of times these biotech
9 drugs are for very serious conditions.

10 So that's the longstanding new technology
11 tension that always has existed between what's rational at
12 the policy level versus what's rational at the individual
13 level. I might say I want everything that could possibly
14 benefit me, but we can't necessarily expect society or my
15 employer to pay for it. I think, though, that all of this
16 is predicated on assuming that these tests are really
17 reliable and predictive, and of course you always need an
18 allowance for an appeals process.

19 Finally, I thought I might be a little
20 provocative and say when might direct-to-consumer access to
21 pharmacogenomic testing be permissible? The blanket
22 statement, like they should never do genetic testing direct
23 to consumer -- well, you have to have the science be
24 good. So you need appropriate standards of analytic and
25 clinical validity, and of course you need to convey the

1 results in an accurate and understandable manner. But a
2 lot of the smaller start-up companies that are operating in
3 this space, they know that. They know that for people to
4 buy their product, because they do cost hundreds of dollars
5 -- you can go to some of these websites and get your panel
6 done, but it's going to cost you about a thousand dollars.

7 I think that when the test contains information
8 about response to over-the-counter drugs, which it would --
9 we heard it gives information about all drugs, and
10 certainly even xenobiotics, so dietary regimens and other
11 things are going to be affected -- how can we ethically say
12 you can have access to a drug over the counter but you
13 can't have access to the test that tells you how you might
14 respond to that drug over the counter?

15 So, for example, if we actually found out, and
16 people suspect that maybe NSAIDs are not really safer than
17 COX2 inhibitors -- they simply haven't been studied in the
18 long term. And let's assume that there could be a test to
19 say who is at increased risk for the cardiovascular side
20 effects associated with NSAIDs. It seems quite appropriate
21 to me that we would allow a test like that over the
22 counter.

23 I think also when the individual has insurance
24 coverage for the drug but not for the test, I think that's
25 another appropriate setting, and again that's quite

1 plausible. When individuals are concerned about
2 discrimination or stigmatization, so they want to go around
3 the system because they're afraid that their employer or
4 their insurer would get access to the results when they're
5 paying for them.

6 So I think a lot of this idea that you need a
7 separate framework for the ethical, legal and policy issues
8 in pharmacogenomics really kind of comes down to this
9 slide. Is it special or unique relative to other medical
10 technologies? You can kind of tell my bias, that I would
11 think no, but I think it's important that I share with you
12 the reasons why people have said yes, that DNA is uniquely
13 identifying. We all know that from "CSI" and trials. The
14 permanency of the sample, that these things can live in
15 banks for years and years and years and years, and even in
16 immortal cell lines.

17 There's a huge amount of information, and
18 that's scary to people. It's uniquely predictive. People
19 have described it as a future diary, as well as the
20 paternalistic view that the science is very complex, so we
21 have to treat it differently, and then the issues about the
22 concerns about stigmatization by race or ethnicity because
23 of the likelihood of genetic variability in those groups
24 being different.

25 But I think that we should really think about

1 pharmacogenomics as a prescribing tool. It's just helping
2 physicians decide the best intervention. I think you can
3 practically separate them from disease susceptibility
4 results. You're certainly not going to give out a
5 microarray to a physician. You're going to have to give
6 something that's much more digestible. So I think we can
7 keep the disease susceptibility stuff out, with some
8 important exceptions.

9 I think it's really important for us to
10 acknowledge that genetic variation is only one factor
11 impacting drug response, and we've heard about that,
12 because if you don't, you're kind of reinforcing all the
13 bad ideas of genetic determinism, essentialism, and
14 exceptionalism, and I think ultimately we'll make patients
15 less willing to be tested. So far we've really had not
16 strong evidence of genetic discrimination for disease
17 susceptibility genetic tests. I'd argue that it's even
18 less likely for pharmacogenetic tests for the reasons that
19 I've talked about.

20 So I would say in conclusion that
21 pharmacogenomics really just highlights the need to resolve
22 what have been longstanding problems about how do we
23 integrate new technologies into clinical practice. There's
24 lack of information across a number of areas. We've heard
25 about that today. I think we need to think about how much

1 political will we have to support changes in these areas.

2 One thing I didn't talk about, but it's clear
3 that the information technology that's going to be
4 necessary to support this is going to be huge, and people
5 are moving to standardization in that area, and there's
6 been a lot of investment, but that's clearly an enabling
7 piece.

8 As a society, we've had cost effectiveness data
9 out there for years and years and years. In my experience,
10 payers still decide on price. We don't necessarily
11 understand cost effectiveness information, and we haven't
12 made explicit the values that have to be built into any
13 cost effectiveness analysis when you decide what costs
14 count and which don't.

15 So let me end there. Thank you.

16 (Applause.)

17 DR. WINN-DEEN: Thanks very much.

18 I'd like to move right to Q&A because we're
19 really running short on time here. So are there any
20 pressing questions for any of the folks on the panel?

21 Julio?

22 DR. LICINIO: I had one question. It was a
23 very interesting presentation. This panel has a long
24 history of our discussing issues related to genetic testing
25 but which are not unique to this panel. There is a whole

1 literature and line of thinking around that which has a lot
2 to do with privacy and right to know and all of that. So
3 let's say in a consent document, unless it's very clearly
4 specified that the person wants to be contacted in the
5 future, you don't contact. When in doubt, you don't over-
6 expose the person to the information, because you're
7 talking about genetic susceptibility, which may or may not
8 happen, to a disease that they may or may not have, and
9 some people don't want to know. For most diseases in this
10 case, there is no cure, and I think they would (inaudible).

11 In the case of pharmacogenetics, I see this
12 very differently because you're talking about the drugs
13 that the person may be exposed to. So let's say in terms
14 of the ethics of the testing, if you do it for research
15 purposes, that person was not considered in the consent,
16 should be recontacted, and you know for a fact that a
17 person has a variant of a gene that can cause adverse
18 reactions to a drug or can result in no effect to treatment
19 that could be for cancer, for example, where if they don't
20 respond they can die, or they should have chosen another
21 treatment, is it ethical not to give the person the
22 information when there is no clarity about that, or even
23 when the person says "I don't want to know about my genes
24 in general," but if you know something that another person
25 is going to contract, you know that they have a mutation

1 that something bad is going to happen, how ethical or
2 unethical is it?

3 In other words, do you use the same standard of
4 ethics as for genetic testing, or should the standards here
5 be different?

6 DR. DEVERKA: I think it's important to always
7 allow folks the option not to be recontacted, and I know
8 that's common practice with some genetic testing for
9 disease susceptibility. I think you're right, that
10 pharmacogenetics is different. I'm trying to imagine a
11 scenario. I guess it would be that you would have
12 information that would affect their outcome where there
13 would be no other treatment, for example, for a serious
14 condition like cancer. I think that you have to respect
15 their decision.

16 In fact, in most cases people don't even really
17 have a means of recontacting folks. Either the samples are
18 permanently anonymized and there's not a mechanism to do
19 that -- so I think from an ethical standpoint, I would say
20 that I would follow their wishes in the informed consent.

21 DR. WINN-DEEN: Tim?

22 MR. LESHAN: Thank you for your
23 presentation. I thought it was very good. I just had a
24 point of clarification, and one point I didn't say earlier
25 is that Rochelle couldn't cover everything, but we are

1 doing some ELSI research at the Genome Institute to look at
2 some of these issues as well.

3 But you talked about the higher cost of
4 implementing some of the privacy standards, and I'm not
5 aware of any data that shows that. I wonder if you could
6 talk about that a little bit more.

7 DR. DEVERKA: Well, folks have certainly talked
8 about the cost of implementing HIPAA, right? I mean,
9 people have complained about that a lot. That graphic that
10 I gave was really just sort of a hypothetical, what are all
11 the potential sources of increased cost, as well as what
12 are all the cost offsets that would decrease overall health
13 care costs. So I'm not aware of any specific studies that
14 talk about the cost of protecting genetic
15 information. It's just sort of logical to me to think that
16 if we're somehow treating that information differently,
17 that it will have a cost associated with it.

18 DR. WINN-DEEN: Kevin?

19 DR. FITZGERALD: I know you were trying to go
20 back and forth and balance yourself here between is it a
21 paradigmatic shift, isn't it, what's the impact going to be
22 or not. So how do you see the way forward for a
23 development of this technology and an emphasis on the
24 importance of this technology while at the same time
25 avoiding the genetic reductionism, essentialism,

1 determinism and all those other things that cash out from
2 this sort of naturally in people's minds when they hear
3 about all the power of this technology?

4 DR. DEVERKA: Well, in addition to what I
5 already said, we have sort of a framework already for
6 evaluating new technologies. It's got a lot of
7 deficiencies, but I don't think we're well served by
8 putting this in a special, separate bucket.

9 I just lost my train of thought. Sorry. Can
10 you say your question again? About how we're going to
11 advance it when people think it's --

12 DR. FITZGERALD: Right. It seems to be, and
13 not just from empirical evidence but also when one looks at
14 its various frameworks, if you push this and hype this or
15 just even talk about the potential for this, that it's
16 going to be interpreted, absorbed or seen by many people as
17 furthering a genetic essentialism, reductionism,
18 determinism sort of thing.

19 DR. DEVERKA: Well, I think one major step is
20 the vocabulary. I think that people have talked about not
21 using the word "genetics" when we talk about these medicine
22 response profiles. I think if we said to a patient I would
23 like to do a test that would help me guide what drug is
24 best for you, I think that that has a completely different
25 connotation than we want to do a test to see if you're at

1 risk for getting a really bad disease in the future, and I
2 think people understand that difference.

3 So I think one big thing that we could do is
4 pay attention to the vocabulary, and that's sort of my
5 remarks in the clinical setting. I think in the research
6 setting, our ethical obligations are to disclose all of the
7 potential risks, which unfortunately, I think in today's
8 environment, do contain some of the potential risks for
9 discrimination or stigmatization, and that we need to
10 disclose that and allow them to make an informed decision
11 about that.

12 DR. WINN-DEEN: I had a couple of FDA-oriented
13 questions. So I'll splat them out here on the floor and
14 let whichever of you guys from FDA wants to respond.

15 I think we heard a comment this morning from
16 the folks that are involved in developing laboratory-
17 developed tests that they would like to see some
18 recognition from FDA that those tests have some status in
19 terms of if the biomarker is validated, that a test
20 developed in a home-brew kind of situation could still be
21 used in pharmacogenetics, why or why not. Currently it
22 seems, from the comments that we heard this morning on TPMT
23 and in the white paper on companion diagnostics, that
24 there's really no formal recognition or utilization of that
25 mechanism by FDA as a way to provide pharmacogenetic

1 services.

2 DR. HACKETT: If you're talking about the
3 biomarker as described in the guidance document, and you're
4 talking analytical only, and there's no clinical
5 validation, so you get an answer but that won't tell you
6 what the possibility is of being responsive to the drug or
7 developing a toxic reaction, that's a problem there. If
8 you go ahead and develop the test, then you can go ahead
9 and probably get it marketed. That's the simple answer.

10 DR. WINN-DEEN: Okay. So let's take TPMT as an
11 example, where we have, I think, clear evidence that there
12 is something there, but FDA did fall short. While they
13 said tests are available, they didn't really acknowledge
14 that the only way those tests are available today is
15 through laboratory-developed tests. Is there a requirement
16 that we move to an IVD assay before we can have something
17 that's formally recognized in FDA labeling as a
18 pharmacogenetic test?

19 DR. HACKETT: Other than a biomarker, yes. If
20 you want something beyond that, then you have to go through
21 the regular approval process.

22 DR. WINN-DEEN: Are you talking about the
23 ability to make a clinical utility claim?

24 DR. HACKETT: It's still like a research
25 product. It's not an FDA-approved product.

1 DR. WINN-DEEN: You're saying that a test
2 result produced by a CLIA-certified laboratory is a
3 research product?

4 DR. HACKETT: No, the test itself is
5 research. It's not an FDA-approved test. CLIA, again, is
6 also only analytical result. It's not clinical
7 validity. Does that help?

8 DR. WINN-DEEN: it raises concerns.

9 DR. HACKETT: The test is not FDA approved, and
10 the only way you can get that approval is to go through the
11 process.

12 DR. WINN-DEEN: No, that I clearly
13 understand. But I'm talking about in the practice of
14 medicine, does that mean that we can't recommend that in a
15 practice guideline or in a drug label, a test for this
16 entity be performed? I mean, it seems like for gleevac, we
17 recommend BCR analysis be performed, and to my knowledge
18 there's no IVD BCR assay out there.

19 DR. HACKETT: Do you want to try that one for
20 labeling?

21 DR. FRUEH: I think there are two separate
22 issues here. One is a combination product or a co-
23 developed product where a test is required in order for the
24 drug to be used. Those tests need to be FDA
25 approved. Beyond that, in many, many drug labels, probably

1 100 or more, we point to pharmacogenomic information, and
2 that's particularly in the area of short metabolism. I
3 think TPMT, irinotecan, are two extreme examples where we
4 actually went and we visited the label because of the
5 toxicities that are associated with it.

6 If you're looking at 2D6 polymorphisms, for
7 example, in drugs for depression and so forth, where it's
8 well known that the drug is heavily influenced but it's not
9 toxicity that is immediate, the recommendation is just not
10 there yet. This has also been addressed earlier. A lot of
11 this information has come forward over the past few years
12 and the drug actually is a lot older. So we don't yet see
13 it in the label. But the development in recommending that
14 the test is being done is definitely going to be part of
15 the label, and there is no problem in putting that in the
16 label, even in the absence of an FDA-approved test.

17 DR. WINN-DEEN: Other questions for this group
18 of speakers?

19 (No response.)

20 DR. WINN-DEEN: Thank you very much for your
21 presentations.

22 We're going to take a 15-minute break -- sorry,
23 10 minutes -- and resume promptly at 3:15.

24 (Recess.)

25 DR. WINN-DEEN: On to discussion. I personally

1 have a lot of notes from today's session. So I guess what
2 I'd like to do is see if we can figure out if there are
3 some particular areas -- well, two or three things that I
4 think we should work on. One is are there some things that
5 we heard today that just stimulate us to want to hear more
6 about any particular subjects, and if so, do we need to try
7 and ask staff to put together a Part 3 to this program? We
8 had Part 1 this morning, Part 2 this afternoon. Do we need
9 another half-day or so of information gathering and
10 education?

11 The other is can we try and bin some of these
12 things into different areas? Are there research
13 issues? Are there ELSI issues? Are there consent
14 issues? Into some kind of logical groups that we then
15 could tackle in trying to make some kind of a summary
16 report of where things are, and then some specific
17 recommendations for what this committee would like to see
18 happen in the area of pharmacogenetics. I think we have
19 some people who want to say something.

20 DR. WILLARD: Let me take the chairman of the
21 day prerogative to try to frame this the same way we dealt
22 with large population studies yesterday, which is to get
23 the committee to focus on what kind of direction can it
24 give to the task force so that the Task Force on
25 Pharmacogenomics can make best use of its time between now

1 and the October meeting.

2 The real issue, as I was listening today, is
3 for the committee to decide are there still issues and gaps
4 where we feel none of the existing groups are tackling them
5 and/or where we simply lack information. It's going to
6 take some discipline to keep our discussions along that
7 track. There are many interesting and chewy questions
8 around pharmacogenomics, but some of them may well, we
9 decide, be under control and are well attended to by
10 existing groups, in which case we don't have much to do
11 except pay attention to that and monitor that as time goes
12 on.

13 So I think if we can focus our discussion on
14 how best to recommend to the task force so that they, with
15 a little more leisure, can decide exactly what needs to be
16 done, and then have that task force come back to the full
17 committee in October with some specific ideas, much as
18 we're doing for large population studies.

19 DR. WINN-DEEN: People still have their hands
20 up, so we'll go Kevin, Agnes, Cynthia, and Deb. So we have
21 four people in the queue here.

22 DR. FITZGERALD: As a member of the task force,
23 a couple of other things that I'd like to be able to see to
24 get input. I think one of the things I'd like to pursue a
25 little bit that did come up, and I'm not sure that the

1 people that we had were set to answer, I'd like to get some
2 more perhaps of the financial side from industry as to what
3 their parameters are on some of these issues. In
4 particular, we heard the desire for partnership with
5 academia, with government and that sort of thing. I just
6 want to get a better sense of how that would flesh out,
7 that partnership.

8 Also, I'm just wondering where the judiciary is
9 on this. That's a group we haven't heard from, even in the
10 genetic discrimination sort of thing. How do they see this
11 cashing out?

12 DR. WINN-DEEN: You mean are they waiting for
13 the lawsuits to come?

14 DR. FITZGERALD: I'm just wondering. I'm just
15 wondering what's their perspective on all this, what do
16 they see as the red flags and things like that, that we're
17 just not hearing. I don't know, I haven't heard any of
18 that yet. So I'm just wondering if it's possible to get
19 somebody in October to speak to us on that.

20 DR. WINN-DEEN: Okay. On the financial
21 aspects, we also really didn't hear from insurers. Is
22 there some interest in trying to hear from insurers as
23 well?

24 DR. FITZGERALD: Right, yes. I think we'd have
25 to have that whole -- I don't know if it would have to be

1 somebody necessarily from each industry, but somebody who
2 has that information or studies that information.

3 DR. WINN-DEEN: Right. Okay.

4 Agnes?

5 MS. MASNY: I think Sam Shekar had brought this
6 up earlier, about the electronic health infrastructure. I
7 think that would be something we would need to hear a
8 little bit more on both for the area of pharmacogenetics,
9 and I'm sure it's going to have impact for the whole area
10 of personal genetic information that we should be more up
11 to date on.

12 The second area that I just have a question on
13 is that for the task force for the large population
14 studies, is there an overlap with what we're looking at in
15 the pharmacogenetic studies in populations, possibly large
16 populations, with the large population study that you're
17 examining for our group?

18 DR. WINN-DEEN: Hunt, do you want to just take
19 that?

20 DR. WILLARD: Well, there certainly are some
21 questions that will be in common to those two groups, and
22 there's also substantial overlap I think between those two
23 task forces. So I think we just all need to be mindful of
24 that as we go forward, but it's a good point.

25 DR. WINN-DEEN: Cindy?

1 MS. BERRY: Because I work with Congress, I
2 tend to have to oversimplify things. So maybe this is too
3 simple for this group, but I was listening to everything
4 that people were saying, and I divided the remarks into
5 kind of a flow chart. Over here was research, the
6 pharmacogenetics, the research needs. Then once you get
7 the research going and you've got some conclusions and all
8 that, then the question was how do you integrate that into
9 practice. So those were sort of two main issues.

10 Leaving aside the integrating into clinical
11 practice, it seems to me that there are big, big gaps in
12 the research that is being done or that has yet to be
13 done. So I divided that further, research with regard to
14 existing drugs, drugs that have already been approved,
15 they've received FDA approval, so what do you do
16 there? Who does that research? Is it the pharmaceutical
17 companies? Do they have to go back and do some research on
18 their own product that's already been approved? Is it
19 academia? Is it government? And how do you coordinate
20 those? I think we heard a little bit about that earlier
21 today. There's got to be some mechanism to coordinate
22 those things. Is there a systematic way of conducting
23 pharmacogenetics research on existing drugs? In other
24 words, that it's not ad hoc. It's not some guy at
25 Vanderbilt decides all of a sudden I'm going to go look at

1 this, and then maybe one pharmaceutical company says, well,
2 maybe we'll go back and look at our drug. There's got to
3 be some more systematic way to do it. So how do you
4 coordinate that?

5 Then the other box is, of course, pipeline
6 drugs. In that case, it seems to me that the burden would
7 fall on the company itself because they're the ones that
8 are inventing the product. I mean, nobody else has access
9 to that. So if it's a pharmaceutical company, how do you
10 get them to do that level of research? Do you have a
11 mandate? Does FDA require it, or is it more an incentive-
12 based system?

13 It seems to me there are lots of different
14 questions and sub-questions in addition to ethical
15 questions that we can put under each one of those, but that
16 was my attempt at kind of simplifying what we heard today,
17 the things that we're going to be faced with. So I don't
18 know who else we need to hear from as far as that goes. I
19 think we got a good base of it, but I'd like for us as a
20 group to contemplate what can we advise the Secretary to do
21 so that we can really encourage this kind of research both
22 in existing drugs and then in pipeline drugs, and who is
23 the best entity or industry or sector to do that.

24 DR. WINN-DEEN: And I would add even under
25 "approved drugs," there's two bins. One is where you know

1 the biomarker, and one where you don't know the biomarker
2 but you know there's some kind of adverse events that you'd
3 like to know the biomarker for. I think those are two
4 different bins as well within that group. So I think the
5 task force could definitely consider trying to make a flow
6 chart and come up with some tentative outline of who might
7 be best suited to do that to throw out on the table for
8 discussion at the next meeting.

9 Debra, did you have some more commentary?

10 DR. LEONARD: Yes, about what we'd like more
11 information on, and this kind of ties in with the framework
12 that Cindy just presented, which was very nice.

13 I do believe that Japan has mandated that all
14 existing drugs be evaluated for pharmacogenetic impact on
15 the Japanese population, and maybe it would be useful to
16 hear how they are doing that and how it's funded and what
17 they're actually looking at. I don't know a lot of details
18 about it. I believe Nakamura is one of the major
19 researchers involved in that process with the Japanese FDA
20 equivalent. I don't even know what that organization is
21 called.

22 DR. WINN-DEEN: The Japanese Health Ministry.

23 DR. LEONARD: But like with the biobanks, that
24 we heard from other people doing this, it might be
25 interesting. I don't know if there are other ethnic groups

1 or populations where this sort of thing is being done, but
2 at least in Japan it is.

3 Then the second thing is with the FDA
4 presentation, there was information that several
5 submissions of pharmacogenetic information have been
6 done. Are you willing to share what the FDA is learning
7 from that process, and when? Because one of the things is,
8 with drugs in development, Cindy, you were saying is there
9 an FDA requirement for the pharmacogenetics. I think
10 that's where FDA is moving. So can you give us an idea of
11 what you're learning and what your timeline is to be
12 thinking about making this part of the FDA approval process
13 rather than a friendly submission of information? I don't
14 know that you have to do it now, but maybe that's something
15 that could be done in the future.

16 DR. FRUEH: I'd be happy to present you all
17 these answers. Actually, I just put a presentation
18 together for that very reason, because it's now one year
19 since we started to get these submissions, and we have
20 learned quite a bit. We're certainly not at the point
21 where we're going to move it into a required type of
22 submission, simply because the data is too complex and we
23 need to make sure we create the appropriate policies and
24 guidelines for that. But we are moving in that direction,
25 that's no doubt. I'm happy to share at any point what we

1 have learned and what we are doing with that information as
2 you deem it appropriate.

3 DR. LEONARD: Because maybe that would be
4 useful to hear about next time. Maybe drugs in
5 development, there's a process in place that will move in
6 the right direction for drugs in development through the
7 FDA. We may be able to say move it along faster or get
8 more resources if you need more resources, or
9 whatever. But I think one of the major issues is with the
10 existing drugs and with the book that was shown by
11 Dick. It's not a small task for the existing drugs.

12 DR. WINN-DEEN: I personally am still
13 struggling with what do you really have to do to get
14 something in a drug label. I'll probably keep asking you
15 guys that question because it's not really clear to me
16 still.

17 DR. LEONARD: It's not clear to me, either. I
18 think that that's a very important thing to be
19 clarified. If death doesn't do it, I'm not sure what does.

20 DR. WINN-DEEN: Tim?

21 MR. LESHAN: One quick addition. You might
22 also want to talk with the Personalized Medical Coalition
23 and get their perspective on some of these issues, as
24 they're grappling with all the policy issues as they relate
25 to personalized medicine.

1 DR. WINN-DEEN: One thing that was brought up
2 to me during the break is that there apparently are
3 differing standards for informed consent and what you're
4 allowed to do with bank samples if you're a government
5 agency versus if you're a private entity trying to do
6 basically exactly the same research but under a different
7 hat. Is there someone we can get from the human protection
8 group that can clarify that for us, what's going on, why
9 there's a double standard, if there is a double standard?

10 MS. CARR: Can you clarify? Where did you hear
11 that there's this double standard? Did somebody say that
12 today?

13 DR. WINN-DEEN: Yes.

14 MS. CARR: Who said that?

15 DR. WINN-DEEN: So you're volunteering. Do you
16 want to come up and just make your comment to the
17 committee, express your concern?

18 MR. YOCHER: Yes. The government agencies,
19 which are going to actually have a workshop on biobanks
20 next week, participate under a different set of
21 regulations, 45 CFR Part 46. Industry has to operate under
22 a different set, 21 CFR, Parts 50 and 56. Where trusted
23 third parties are used to hold the keys to trace back to
24 source documents, that system is allowed in the
25 government. What's happened in industry is a part of FDA,

1 called the Bio Research Monitoring Group, has said this is
2 not allowed because they reserve the right to go back to
3 the source documents, and without having to go through a
4 trusted third party.

5 This has been an issue for quite some time, and
6 we think since we're trying to do public and private
7 consortiums working together on pharmacogenomics, we can't
8 have two standards.

9 MS. CARR: Thank you for clarifying that. I
10 now understand what you're talking about. I thought you
11 were talking about a different standard for government
12 agencies, but what you're referring to is the different set
13 of regulations that govern HHS-funded research. It's true
14 that the common rule and FDA regulations do have a
15 different approach to research involving human tissues, and
16 even the definition of a human subject is different, the
17 allowance for a waiver of consent is different, and
18 actually NIH, through its program, the Clinical Research
19 Policy, Analysis, and Coordination Program, an initiative
20 of the NIH Roadmap, is actually very interested in this
21 problem.

22 We've talked with FDA. Joe Hackett's
23 colleagues in his center I think are certainly looking at
24 this issue, and I don't know if Joe can speak to it any
25 further, but I think there is a consciousness at FDA of the

1 fact that they have a different approach is an issue, and
2 it's certainly a concern for NIH.

3 If you're referring to the workshop that NCI is
4 sponsoring, I'm sure that will be an issue. I know there's
5 also a group -- PRIMER has a tissue working group that's
6 very concerned about this, too, and also may be making some
7 recommendations about it as well.

8 MR. YOCHER: Thank you.

9 DR. WINN-DEEN: It certainly seems to me that
10 if we're going to talk about doing public/private
11 partnerships, that we have to be able to operate under one
12 set of ground rules where all agencies are accepting of a
13 set of ground rules that works for everyone. So I would
14 like to see us talk about that a little bit more and see if
15 in our role as an advisor to the Secretary there's anything
16 that can be done to mediate normalization of things between
17 agencies within HHS.

18 Other comments and concerns? Kevin.

19 DR. FITZGERALD: Just one other thing, and we
20 can talk about it again in the task force, but it's
21 something that kept coming up, and somewhat tangentially,
22 during the various presentations is this idea of benefit
23 and the therapeutic things that are going to be done, the
24 clinical usefulness, that sort of stuff. At the end, one
25 of the reasons I asked the question of the ethics

1 presentation -- and her answer was you've got to get good
2 language. That reminds me of the thing we face today,
3 even, say, in Phase I clinical trials, where you have
4 wonderful informed consent forms, and yet the patients
5 still walk away certain that this is going to benefit them
6 in some therapeutic way, in spite of the fact that this is
7 a Phase I trial. It's called therapeutic misconception.

8 My fear is there's going to be a huge
9 therapeutic misconception surrounding this sort of
10 technology and it's going to be very difficult to get
11 really good understanding out in the public. Some people
12 who are very good at that sort of thing are some of the
13 sociologists who have been starting to study this thing
14 about risk awareness and different ways of conceptualizing
15 risk and all that sort of thing. So that might be another
16 area we might want to look at.

17 DR. WINN-DEEN: So you're talking about sort of
18 the public perceptions of risk/benefit?

19 DR. FITZGERALD: Well, it's a little more
20 complicated than just public perceptions. Different groups
21 have different filters, different heuristic structures,
22 different ways they interpret the very same words and the
23 very same data and the very same material. How does one,
24 then, address that sort of situation? It's one I'm sure
25 the genetic counselors see all the time when people come in

1 and they have to deal with this constantly. But it's also
2 something a lot of sociologists have begun to look at in a
3 more systematic way.

4 DR. WINN-DEEN: Agnes?

5 MS. MASNY: This comment relates not so much to
6 a gap but just something for the task force to keep in
7 mind. If we're going to be putting a document together or
8 resolutions, whatever, that we include a section about the
9 education for health professionals in this area. That was
10 brought up many, many times for physicians,
11 pharmacologists, nurses, other health care providers. I
12 think it would just be something the task force has to make
13 note of.

14 DR. WINN-DEEN: Yes, I actually made note of
15 that in a larger context, because I think we heard from
16 several people that education is not sufficient to create
17 clinical implementation, and I would like to really explore
18 what's going on with the clinical implementation piece both
19 for things that already exist, whether there's a good body
20 of evidence, what is really happening that's keeping that
21 from happening, as well as is there some mechanism that we
22 could propose going forward for best practices. When you
23 get to the point where you have all the evidence, how do
24 you turn evidence into implementation for better health
25 care, and what are the steps you have to go through on that

1 implementation side?

2 So I think most of the work that's been done to
3 date has focused on how do you get to the evidence, and
4 we've seen a couple of examples where even with evidence,
5 we're not seeing full uptake. I think Eric Lai's little
6 chart, where he compared HER2 and Herceptin with TPMT
7 testing with 2D6 testing, all of which are "valid
8 biomarkers" where we know what they mean, we're still
9 seeing this variation in uptake, and we need to understand
10 that a little better.

11 Deb?

12 DR. LEONARD: Just several points, two quick
13 ones and then a question, I think for Tim.

14 We heard several times also today about gene
15 patents and the impact that this was going to have on
16 restricting the development of broader pharmacogenetic
17 testing, and I know we're dealing with gene patents
18 separately, but maybe we can remember this as we're hearing
19 the report of the NAS task force that's going to have a
20 report coming out this July, that hopefully we will get
21 before our next meeting.

22 One point --

23 DR. WINN-DEEN: Can I just say something on
24 that? Sarah, or whoever is going to be organizing this,
25 since we're going to be having some kind of a report on

1 that report, I assume, before the next meeting, can we ask
2 whoever is doing that to talk about it both in the general
3 as well as in the pharmacogenetics context?

4 Sorry. Go ahead with your other point.

5 DR. LEONARD: That's okay.

6 The second point is that one statement kind of
7 struck me, which is that when there's FDA approval, then
8 CMS should pay. We just finished a coverage and
9 reimbursement document, and I don't know that that's in
10 there anywhere, but it did seem like a logical connection
11 between the two agencies. I don't know whether it
12 exists. Don't worry, staff, we're not going to go changing
13 the coverage and reimbursement document. But it was
14 something to think about, I think, in the context of
15 coverage and reimbursement and pharmacogenetics.

16 My third question is really in the model of the
17 NCI cancer -- they're not core facilities, but they're
18 basically resource facilities that are set up to help with
19 certain types of cancer analyses that are done across many
20 different kinds of research. What would it take to have
21 the same sort of resource developed to support
22 pharmacogenetic analysis of patients from clinical trials
23 in a more centralized way? It could come out of the
24 Pharmacogenetics Research Network. In fact, Dick said that
25 they had applied for this and it wasn't funded. But it

1 seems like that would be something, since they already have
2 data analysis and statistical analysis and many resources
3 within that network, that if there could be a type of
4 laboratory created -- and I don't know what mechanisms
5 would be needed, but could you speak to that a little bit,
6 Tim?

7 MR. LESHAN: I'm not sure I can speak very
8 specifically to that. We provide a lot of the basic
9 resources for genomics research through bioinformatics
10 research that we fund and that we do intramurally in our
11 institute, as well as just the power of the convener on
12 these kinds of things and having workshops to try to
13 provide the basic kind of information for people so they
14 can better understand these things. But I think it would
15 require a proposal of someone to present to our institute
16 as to how they think we should propose providing those
17 resources. I think it's something we would definitely
18 consider, but I don't think I know the best mechanism at
19 this point. There may be others, Rochelle or whoever.

20 DR. WINN-DEEN: Hunt?

21 DR. WILLARD: Just to clarify, there are such
22 cores that are out there. NHLBI supports major sequencing
23 cores, which were mentioned in Rochelle's talk, where
24 people can submit projects for gene resequencing, and
25 pharmacogenetics would certainly fall under that. To me,

1 it's not a core resource issue. Genotyping is dirt cheap
2 and can be done in a thousand-plus cores and facilities
3 around the country. So I don't think it's access to
4 technology that's holding up any of these studies. It's a
5 conceptual block to pulling together the large studies at
6 the translational end, but getting the data out of labs I
7 don't think is a major road block.

8 DR. WINN-DEEN: Sandra?

9 DR. LEONARD: I disagree.

10 Oh, I'm sorry. Go ahead.

11 DR. HOWARD: On the point that you had made
12 earlier, I think you might want to hear from CMS themselves
13 about the effect of FDA approval on their reimbursement
14 policies. As you know, they have responsibility for the
15 elderly and disabled population, and there's recently been
16 a drug benefit added. You might want to hear from them
17 about how these technologies may then impact their
18 responsibilities toward these populations, and also their
19 responsibilities in the area of cost containment, because
20 they do have some responsibilities in that area. They
21 don't address the totality of the population, but I know
22 that insurers, that payers in general kind of look to them
23 to see what decisions they've made about that in the
24 populations that they address.

25 But they also have the other program, Medicaid,

1 in partnership with the states. They don't make coverage
2 determinations the same way, but certainly these
3 technologies are going to impact upon those
4 populations. So you might want to hear from them as well
5 on that.

6 DR. WINN-DEEN: Deb, did you have a follow-up
7 to your previous comment, or something new?

8 DR. LEONARD: I disagree, Hunt, because I think
9 that a general sequencing facility or genotyping facility
10 isn't going to have the pharmacogenetic information and
11 pharmacologic information to say to an investigator who
12 wants to investigate different responses to asthma drugs or
13 antidepressants or whatever, you might want to look at
14 these or help with designing what genotyping or
15 resequencing you would choose to do, because I think many
16 of these projects may come out of clinicians who don't have
17 the genetics knowledge and the genomics knowledge, the
18 statistical information, the bioinformatics information.

19 So to have a more focused pharmacogenetics type
20 of core, rather than the generic sequencing kind of core,
21 might facilitate this research.

22 DR. WILLARD: Then we're disagreeing only on
23 what to call it, because to me, then, it ceases to be a
24 core if you're really wanting it to be driven
25 intellectually and conceptually by this core where

1 physicians and clinicians around the country might be able
2 to offer cohorts of patients, and from that would derive
3 pharmacogenetics conclusions and data. So to me, that's
4 different from a "core," but whatever we call it, then I
5 might agree there's a need for such a thing.

6 DR. WINN-DEEN: I think a lot of the pharmGKB
7 labs actually had a component where they both collected
8 clinical samples that were well characterized as well as
9 had to provide a mechanism for doing whatever resequencing
10 or genotyping needed to be done on those. So I think
11 within the individual awardees of those grants, there is
12 that expertise, and it's a mixed expertise. So you've got
13 clinicians as well as the high-throughput genotyping and
14 sequencing support team to know how to sequence.

15 DR. LEONARD: But in talking with Dick
16 afterwards, he was saying he had made a proposal for this
17 type of thing that could integrate with various clinical
18 trials that would be ongoing so that you could evaluate the
19 specimens pharmacogenetically and use the resources within
20 the Pharmacogenetics Research Network, and that was not
21 funded.

22 DR. WINN-DEEN: Okay, I'm going to let Julio
23 talk because he's in this network, and he also has a
24 question. So you get the floor on both counts right now.

25 DR. LICINIO: The thing is that what you're

1 referring to -- and I don't know if Dick is still here, but
2 the network that was put together, it's not that it was not
3 funded. It was part of a roadmap RFA for translational
4 centers, and the whole RFA was canceled. So it's not that
5 it was not funded as a specific project. The whole
6 initiative kind of disappeared.

7 But I actually just very recently, a couple of
8 weeks ago, wrote an editorial about this, because I think
9 the point which you're bringing up, which is very
10 important, we should consider maybe now or in future
11 meetings. I think this field, having worked in it for a
12 while, if you look at it very carefully, there are some
13 people who do outstanding work on both sides, and I'm not
14 talking about those. But where you see the biggest
15 deficiencies are these people who work on the genetic side
16 and have more of a genetic background.

17 The clinical material they just call
18 samples. So as an example, years back I was asked to
19 consult in order to do a collaboration with a company, and
20 they asked me to calculate the cost of doing a
21 pharmacogenetics trial that would result in blood samples
22 that should be analyzed. They said the cost per sample is
23 too high. If you do genetics research, I can go out there
24 and get 1,000 schizophrenic patients for a study. I can
25 get the samples in one day. Just go to a few large state

1 hospitals and you can collect 1,000 people in a day.

2 But you cannot, for pharmacogenetics -- you
3 have to screen the people, and then treat them and observe
4 the results of treatment in a controlled way, which is
5 extremely expensive. The people who do the genetics side,
6 they don't understand the clinical issues, they don't
7 appreciate the clinical issues, and they don't accept the
8 cost, which is extremely high.

9 So you often see -- as the editor of two
10 journals, I see this all the time. You see very
11 sophisticated genetics on clinical samples that are of very
12 questionable value. So in my own PharmGKB study, to get
13 the first 120 patients into my study, I had to screen 2,111
14 people, because if you're studying the pharmacogenetics of
15 a drug, ideally the person should have that disease and
16 nothing else and be taking that drug and nothing else. So
17 if you're studying the pharmacogenetics of an
18 antidepressant, you don't want a depressed person who is
19 also diabetic and taking insulin at the same time, because
20 if they change, you don't know what's changing.

21 Out there in the real world, when you talk
22 about the common and complex diseases, it's very rare to
23 find a person who has that disease, only that disease,
24 nothing else, and is willing to take that one drug and
25 nothing else, does not have back pain, is not taking a ton

1 of natural supplements, is not taking this and that
2 thing. So the geneticists, they fail on that side.

3 The clinicians, they fail on the side of --
4 some of them who have more clinical backgrounds, they
5 collect very good samples and they have very good trials
6 with samples collected, and they don't know the first thing
7 about the genetics, and that's maybe where this thing could
8 be helpful. Then they just test a few polymorphisms here
9 and there. They do things that don't have enough
10 power. They do a lot of tests in a sample that's
11 insufficient.

12 So what I see often are people coming from the
13 clinical side, the pharmacologist side, without a knowledge
14 of genetics, and people coming from the genetics side
15 without the knowledge of the pharmacology. So maybe some
16 type of interface between -- the Pharmacogenetics Network
17 is wonderful, but it is relatively circumscribed to those
18 people who are in the network. But the (inaudible) doesn't
19 really at this point -- I know it's a goal for the future
20 -- it doesn't reach to the clinician out there or the
21 clinical researcher out there, and a lot of geneticists are
22 not in the network. The network is not driven by
23 geneticists.

24 So it should be important maybe for this panel
25 to try to kind of bring those two communities together

1 through a core facility, through some type of mechanism to
2 integrate these two sides, because that's where the divorce
3 happens.

4 DR. WINN-DEEN: Thanks. I think that's a
5 really great idea, and we'll try and see if we can figure
6 out a way to make some kind of task force recommendation.
7 Hunt, and then Alan.

8 DR. WILLARD: One point on that, and then
9 another one following up on Pat Deverka's talk. I think
10 Dr. Davis this morning made a very rational and impassioned
11 plea to figure out how to do translational pharmacogenomics
12 that is linked somehow to health outcomes. That is, as
13 Julio points out, a very different kind of science that
14 people who are trying to do the basic science in a
15 laboratory, and it may be that these networks, which are
16 valuable certainly for one area of science, don't
17 necessarily completely bridge that gap, and the task force
18 may want to look more closely at the mechanisms that would
19 specifically lead to addressing not the basic science but,
20 assume the basic science is there, how do you then take
21 those discoveries and that knowledge base and push that
22 through with a series of studies that would deal not only
23 with clinical analysis but the pharmacoeconomics, the
24 health system design and financing, et cetera, because
25 there are a whole number of different avenues that would

1 need to come into play in order for there to be "success"
2 and adoption of this or any other technological advance in
3 the practice of medicine.

4 The other two things that I jotted down during
5 Pat Deverka's talk that the task force might want to look
6 at, which I'm not sure we or other groups have taken up, at
7 least fully -- one was the issue of genetic exceptionalism
8 again. This we dealt with two years ago, I believe, but it
9 comes back up specifically in this context that I think is
10 very relevant as she presented the issue of
11 pharmacogenomics. I mean, is this really a truly new beast
12 that everyone is going to have to figure out a way to deal
13 with, or is there a way to slip this into existing
14 paradigms, regulatory or otherwise? That seems to me is a
15 reasonable task force question.

16 The other one is race and genomics and a
17 follow-up related to whatever is happening today with the
18 BiDil advisory committee meeting, but there may be other
19 examples as well. There certainly will be other examples
20 coming down the pike, and to address that from the
21 standpoint of are there gaps in knowledge and what would
22 the Secretary need to know about those issues where we
23 might be able to be of some help.

24 DR. WINN-DEEN: Do you think it would be useful
25 to hear a short synopsis of what actually happened today,

1 whichever way it goes?

2 DR. WILLARD: That probably depends on what
3 actually happened today.

4 DR. WINN-DEEN: Well, I mean whether it was
5 approved or not approved, is there a lesson to be learned
6 there? I mean as a potential topic for the October
7 updates.

8 DR. WILLARD: Let the task force do what the
9 task force will do. I think it depends on what happened
10 today, what was recommended, and what other kinds of
11 examples may well come along. I'm sure there will be
12 plenty of opinions on whatever they did.

13 DR. WINN-DEEN: Alan?

14 DR. GUTTMACHER: Yes, thanks. I just wanted to
15 rejoin the discussion that Debra and Julio and Hunt and
16 some others were having, just to sort of state the
17 obvious. The example of pharmacogenomics in this area of
18 interdisciplinary research is a very edifying one but far
19 from a unique one. It really crystallizes, I think, what
20 is the challenge to the NIH, and not just to NIH but to
21 academia, to private industry, et cetera, to think about
22 how we do research in an era when nobody has the degree of
23 knowledge in enough areas to be able to do the research
24 anymore.

25 I think the PharmGKB network was a wonderful

1 example of how to move into that area. It's not sufficient
2 to do all of pharmacogenomics, and certainly NIH continues
3 to deal with this, realizes it's a very fluid area and
4 needs to come up with new models for doing it, but it's not
5 just the funders that need to do it. It's not just the NIH
6 among the funders. It's all the funders, but it's not just
7 the funders. It also challenges academic institutions, and
8 many are obviously trying to do this, how you come up with
9 ways of putting this together.

10 It's further a challenge and perhaps an
11 opportunity in this area since obviously this gets to an
12 area of translational research where there are private
13 industries that are interested in the knowledge gained here
14 and how one creates interfaces with private industry as
15 well. It's obviously interested in this kind of
16 information. There are no, I think, easy answers to this,
17 but everyone involved recognizes the fact that they don't
18 have the answers yet. So any advice the committee could
19 offer -- I wouldn't just look at the funders. I'd look at
20 them, but I'd look at other kinds of changes we might make
21 in the way we approach these things.

22 DR. WINN-DEEN: Right. So I think part of our
23 focus on funders might have to do with our charge to deal
24 with HHS and not stray too much from our mandate to be a
25 group that makes recommendations to the Secretary. But we

1 certainly could talk about how HHS agencies can do outreach
2 and work jointly with non-HHS entities, whether they're
3 public or private, to move forward.

4 Other commentary? I think the task force has
5 plenty of meat. We'll do our best to put together a
6 program that's organized.

7 Sarah has some comments.

8 MS. CARR: Actually, it's more of a
9 question. Does the committee want to talk or give any
10 further guidance to the task force about the long-range
11 goal here? It sounds like you're not ready to begin
12 writing any kind of report. You're still exploring and
13 needing to put together additional presentations and fact-
14 finding for the October meeting but not ready to think
15 about the product that will come out of all of this yet.

16 DR. WINN-DEEN: Well, I'm hoping that we will
17 come out with some recommendations, but I'm not sure if
18 we'll come out with a big book like Coverage and
19 Reimbursement that within it has embedded recommendations,
20 or whether the work product will be more like our letters
21 to the Secretary on education and discrimination that just
22 points out some specific things. I think this subject is
23 so complex in many ways that you may have to have some
24 white paper, at least, that frames the issue and then talks
25 about the specific recommendations.

1 MS. CARR: Well, would the committee like to
2 give the task force the latitude to think about what form
3 -- I guess that's inherent in this, but I think it would be
4 good for the task force to think about that early on.

5 DR. WINN-DEEN: Is there anybody that has any
6 objection to an open thought process at this point for how
7 we might convey whatever recommendations?

8 (No response.)

9 DR. WINN-DEEN: Okay, good. I'm seeing
10 everybody in agreement that we can have some latitude.

11 Agnes?

12 MS. MASNY: When you mentioned about the white
13 paper, one of the speakers, and I can't remember which one,
14 had mentioned that there were four white papers that were
15 published in this area.

16 DR. WINN-DEEN: Rochelle Long, NIGMS.

17 MS. MASNY: It would be very helpful if those
18 could be made available to the committee.

19 DR. WINN-DEEN: We'll get hold of those when
20 they come, as they come.

21 I want to thank everybody who participated in
22 this session from the speaking side, and all the people on
23 the task force who participated in getting us this far, in
24 particular Fay Shamanski, who did all the work of
25 organizing everybody to actually be here and put the

1 program together. I certainly appreciate having
2 everybody's help and believe in the Shaker saying of many
3 hands make light work. It really does make a difference to
4 have a lot of people participating. We thank all of you
5 for your participation and look forward to additional input
6 and discussion.

7 Did you have one more thing for the task force
8 before we close this part?

9 MS. CARR: Actually, no. I was more responding
10 to Debra. The translational research centers' RFA or PA
11 that was canceled, I think they had a meeting a couple of
12 weeks ago to think about what to do instead of that, I
13 think. So we could hear from them. That could be
14 something else you might want to do, and maybe the NIH
15 Roadmap in general might be something that might be of use
16 to hear about, if only for the task force or the full
17 committee maybe.

18 DR. WINN-DEEN: Okay. I'm turning it back over
19 to Hunt for the next steps and closing remarks.

20 DR. WILLARD: Thank you to Emily and the task
21 force. That was a terrific, albeit exhausting, day. My
22 thanks to the speakers as well. I think we never fail to
23 learn something, and today we actually learned an enormous
24 amount, and I thank you all for that.

25 It falls on me simply to announce our next

1 meeting is October 19th and 20th, and at least currently is
2 scheduled to be held here again according to my notes. The
3 meeting dates for next year are in your table folders, for
4 those who like to plan your long-range calendars.

5 I think all of us want to both recognize and
6 thank and say goodbye to Barbara and Joan, this being your
7 last meeting. Ed has already totally forgotten he was ever
8 on this committee, I'm sure.

9 (Laughter.)

10 DR. WILLARD: His 12 hours have passed.

11 But you've been terrific participants, and we
12 will miss you and wish you well in your retirement.

13 Any other business?

14 DR. LEONARD: Sarah, are the meeting dates set
15 for going out?

16 MS. CARR: For 2006? They were supposed to be,
17 but we're having to work on them. We haven't found sites
18 for those meetings yet, so we're holding off on setting
19 them in stone yet. But we hope to do it very soon because
20 we know your calendars will fill up soon.

21 DR. LEONARD: Could you send out at least
22 tentative dates that we could hold?

23 MS. CARR: Could we do that? Yes, we can
24 certainly do that.

25 DR. WILLARD: March, June and October.

1 (Laughter.)

2 MS. CARR: Don't put anything on those months.

3 DR. WILLARD: Suzanne?

4 DR. FEETHAM: A theme that has been going
5 through the whole work of SACGHS, and certainly these last
6 two days, is access. I'm bringing it up separately from
7 the pharmacogenomics because it really is underlying
8 everything we've been talking about. In talking with Tim,
9 I know a fair amount of studies have been funded through
10 the ELSI regarding access. What we don't know is if they
11 have solid evidence to report about that. But that's
12 something I'd like us to think about for a future meeting
13 and have our colleagues do the homework to know whether
14 they're at a point where they'd want to be presenting
15 that. But I think that's just critically important,
16 underlying all of the work we're doing, and if the science
17 is moving along in that area, it would behoove us to know
18 what the state of the science is.

19 DR. WILLARD: Thank you for that. Access, of
20 course, is one of those overarching issues we identified
21 early on, and we do need to keep coming back to it. So I
22 appreciate that.

23 Agnes?

24 MS. MASNY: Not that I want any more work, but
25 just the beautiful chart that we put up regarding the

1 timeline of all the priority areas, is there anything else
2 that we have to address besides the pharmacogenomics for
3 the next meeting?

4 DR. WILLARD: Large population studies is the
5 other major one.

6 Well, with that, and seeing no other red
7 lights, thank you to everyone, both on the committee and in
8 the audience, and those who are still hanging in at
9 home. With that, this meeting will be adjourned. Thank
10 you all.

11 (Whereupon, at 4:21 p.m., the meeting was
12 adjourned.)

13

14

15

16

17

18

19

20

21

22

23

24